

MEDICARE PAYMENT ADVISORY COMMISSION

PUBLIC MEETING

The Horizon Ballroom
Ronald Reagan Building
International Trade Center
1300 Pennsylvania Avenue, N.W.
Washington, D.C.

Thursday, November 6, 2014
9:40 a.m.

COMMISSIONERS PRESENT:
GLENN M. HACKBARTH, JD, Chair
JON B. CHRISTIANSON, PhD, Vice Chair
SCOTT ARMSTRONG, MBA, FACHE
KATHERINE BAICKER, PhD
KATHY BUTO, MPA
ALICE COOMBS, MD
FRANCIS "JAY" CROSSON, MD
WILLIS D. GRADISON, MBA
WILLIAM J. HALL, MD
JACK HOADLEY, PhD
MARY NAYLOR, PhD, RN, FAAN
DAVID NERENZ, PhD
RITA REDBERG, MD, MSc, FACC
CRAIG SAMITT, MD, MBA
WARNER THOMAS, MBA
CORI UCCELLO, FSA, MAAA, MPP

AGENDA	PAGE
Beneficiary access to hospital care, and how service volume affects hospital costs - Zach Gaumer, Jeff Stensland	3
Hospital short stay policy issues - Kim Neuman, Zach Gaumer, Stephanie Cameron, Craig Lisk	50
Public Comment	138
Issues in Medicare Advantage - Carlos Zarabozo	141
Per beneficiary payment for primary care: Continuation of the Commission's discussion - Kevin Hayes, Julie Somers	210
The 340B drug pricing program - Ariel Winter, Dan Zabinski	260
Public Comment	308

1 P R O C E E D I N G S [9:40 a.m.]

2 MR. HACKBARTH: Okay, good morning. We kick off
3 this morning with the very first installment of our annual
4 work in preparation for update recommendations.

5 Jeff?

6 DR. STENSLAND: All right. Today we're going to
7 begin MedPAC's discussion of Medicare hospital payment
8 adequacy. Each fall we evaluate whether Medicare hospital
9 payments are adequate using a standard framework. As we did
10 last year, we will present our evaluation of hospital
11 payment adequacy in two pieces. In today's session we will
12 discuss beneficiary access, changes in hospital service
13 volume, access to capital, and we'll also explore the
14 relationship between volume change and costs per unit. In
15 December we will return to talk about quality, costs, and
16 payments.

17 First, Zach is going to walk you through our
18 hospital access measures. He'll discuss how declines in
19 admissions per capita are driving lower occupancy and how
20 that may be contributing to the slight increase we see in
21 closures.

22 Second, I'll describe a new analysis assessing the

1 how the declines in volume we've seen in recent years could
2 affect costs per unit of care.

3 Now I'll turn it over to Zach.

4 MR. GAUMER: Okay. Good morning. Total inpatient
5 admissions continued to decline as outpatient visits
6 increased. Between 2006 and 2013, there was nearly a 17
7 percent per beneficiary decline in inpatient admissions.
8 But within the last year, inpatient admissions declined 4
9 percent per beneficiary. The trend in inpatient utilization
10 may suggest patterns of care are changing broadly in the
11 United States. We observed similar patterns across
12 different Medicare beneficiary age groups and in different
13 geographic regions. We also see similar patterns in
14 patients with Medicaid insurance and commercial insurance.
15 During the same time period, outpatient utilization has gone
16 in exactly the opposite direction, as you can see,
17 increasing 33 percent per beneficiary, with an increase of
18 almost 4 percent in 2014.

19 The trend in inpatient bed occupancy rates has
20 tracked with inpatient utilization declines, suggesting that
21 the amount of excess inpatient capacity is increasing. On a
22 national level, from 2006 to 2013, hospital occupancy rates

1 declined from an average of approximately 64 percent to 60
2 percent. This statistic demonstrates that there is
3 currently a relatively large volume of unused hospital beds
4 in the marketplace, maybe as much as 40 percent. On
5 average, urban hospitals have higher occupancy rates than
6 rural hospitals, but both demonstrated declining occupancy
7 rates over this period. So there appears to be excess
8 capacity in both places. There is also wide variation in
9 occupancy rates by market. Most notably, about 15 markets
10 in the United States had average hospital occupancy rate
11 exceeding 75 percent.

12 So the aggregate impact of the hospital closures
13 and openings in 2013 was nine fewer hospitals and
14 approximately 1,100 fewer beds in the marketplace. This
15 amounts to 0.1 percent of all hospital beds, and because it
16 is such a small proportion of the marketplace, we do not
17 believe it will harm beneficiary access. In addition, given
18 the utilization and occupancy trends. In addition, given
19 the utilization and occupancy trends, we might anticipate
20 more beds being eliminated from the marketplace in the
21 future.

22 Specifically we observed 27 hospital closures in

1 2013. This is approximately 0.6 percent of all hospitals.
2 These facilities were relatively small. Their occupancy
3 rates were low, at 32 percent. Their low occupancy was
4 associated with poor financial performance, as their average
5 all-payer profit margins was negative 5.7 percent in 2012.

6 On the other side of the coin, the 18 hospitals
7 that opened in 2013 were similar to others that we have seen
8 open in recent years in that they were very small and they
9 tended to focus on a small set of services. Some of these
10 are traditional specialty hospitals, but the others are
11 something new. They're small facilities offering a limited
12 scope of services. They all appear to offer emergency room
13 services, surgical services, and outpatient clinics, and
14 several offer rehabilitation services as well.

15 Over the course of the last year, you may have
16 seen reports in the press about rural hospital closures.
17 This is a subject we continue to monitor.

18 Rural closures are happening in the midst of
19 excess inpatient capacity as well. On average, rural
20 hospitals saw occupancy rates decline from 47 to 41 percent.

21 Throughout the majority of this time period --
22 that is, from 2006 to 2012 -- rural hospitals were actually

1 underrepresented in the universe of hospital closures.

2 In 2013 the relative number of rural closures
3 increased to 13 facilities, but this is proportional to the
4 share of all hospitals that are rural.

5 The most notable characteristics of these
6 hospitals are that they were on average 27 miles from the
7 nearest hospital and that nine were critical access
8 hospitals.

9 We observed that a portion of these hospitals
10 remained open either as urgent care centers, emergency
11 departments, or other types of outpatient clinics. It is
12 positive thing that a health care footprint remains in these
13 rural areas, but what is most important for access is that
14 emergency capacity remains.

15 Turning to capital or access to capital, overall
16 the hospital industry appears to have maintained access to
17 capital in recent years. Equity markets continue to see
18 hospitals as an attractive investment as indicated by the
19 fact that the three largest for-profit hospital chains say
20 their stock prices increased by over 25 percent in 2014.
21 Many nonprofit hospitals demonstrated strong access to
22 capital by issuing \$18 billion in bonds in 2013, and in 2014

1 we continue to see bond interest rates that are extremely
2 low. However, there are many nonprofit hospitals that lack
3 access to capital markets. Exactly how these facilities
4 gain access to capital for expanding or maintaining their
5 resources is somewhat unclear. Some of them may merge or
6 partner with larger hospitals or systems. And along those
7 lines, we continue to see increases in this type of
8 activity. In 2013, 283 hospitals merged or were acquired.
9 This is the most in the last seven years, and it has been
10 driven largely by the largest for-profit hospitals acquiring
11 smaller entities.

12 Hospital employment had been growing significantly
13 faster than the rest of the economy from 2008 to 2012. But
14 in the last 18 months, hospital employment has continued at
15 a stable rate. During this time hospital employment has
16 grown slower than the rest of the economy.

17 Hospital construction spending continued at a high
18 level in 2013 and 2014. The slight decline in the last two
19 years may be attributable to the growth in excess inpatient
20 capacity and the general shift towards building outpatient
21 capacity. Industry reports state that hospitals are
22 currently more focused on building outpatient capacity, such

1 as medical office buildings and outpatient clinics rather
2 than building new inpatient beds, as they did four or five
3 years ago. In addition, several other industry reports have
4 detailed both hospital and non-hospital entities investing
5 in urgent care centers and freestanding emergency
6 departments. These two types of facilities appear to have
7 grown rapidly in recent years.

8 In December we will also provide you with more
9 information about hospital quality trends to complete our
10 analysis of access.

11 And now Jeff will take you through one of our
12 newer analyses.

13 DR. STENSLAND: Okay. So Zach just explained how
14 inpatient volume is slowing and how occupancy has declined
15 about four percentage points in recent years.

16 A common perception is that most hospital costs
17 are fixed, and this would imply that cost per discharge may
18 grow faster when volume declines. A concern may be that as
19 hospital volume declines, we would need to give higher
20 updates to offset the expected increase in unit costs if
21 most costs are indeed fixed.

22 Another thought is individuals may think, well, if

1 a lot of costs are fixed and if we reduce the number of
2 hospitals by eliminating the excess capacity, we would
3 generate large savings through closures.

4 Now, contrary to these perceptions, we find most
5 costs are not fixed, and this has several implications:

6 First, it means we should not expect significantly
7 faster cost growth given the small decline in volume.

8 Second, cost savings from closures will exist, but
9 they will be modest. When a hospital is closed, the
10 hospital's fixed costs are eliminated. But that is a small
11 share of the costs of treating those patients. Most of the
12 costs of care are tied to the patient and will move with
13 that patient.

14 Third, hospital-based ACOs do have an incentive to
15 reduce volume. They can make up for the lost revenue by
16 reducing their costs and by sharing in the savings from
17 reduced admissions.

18 This slide looks at the long-term effect of
19 occupancy on cost, and it simply shows that hospital
20 occupancy has little to do with inpatient cost per
21 discharge.

22 If we look at the first column, these are low-

1 occupancy hospitals; all have an occupancy of under 40
2 percent. They have a standardized cost of \$12,000 per
3 discharge.

4 In contrast, look at the column on the right.
5 This are high-occupancy hospitals. They all have occupancy
6 of 65 percent or more, and they have costs of \$11,560 per
7 discharge. While occupancy is twice as high in the right-
8 hand column, costs are only 4 percent lower. The 4 percent
9 lower costs is associated with 4 percent better margins, as
10 we discussed in your mailing.

11 The takeaway is: Occupancy matters, but it does
12 not greatly affect costs in the long run. This should not
13 be surprising given that a hospital's capital costs -- the
14 building and equipment -- are only about 7 percent of the
15 hospital's total costs.

16 So that was a cross-sectional analysis that had us
17 look at the long term. The next question is how soon can
18 hospitals adjust to changes in volume. Over a one-year
19 period, can they reduce their costs to reflect the lower
20 volume of care? And this is important for the incentives
21 such as ACOs and the readmission policy which may affect
22 volume, and there's a question of whether they can adjust

1 their costs accordingly.

2 The first column shows hospitals that lost at
3 least 10 percent of their volume from 2011 to 2012. Their
4 costs grew by 4.3 percent per discharge.

5 The last column shows hospitals that had over a 10
6 percent increase in their volume from 2011 to 2012. Their
7 costs grew by 0.7 percent per discharge. So the volume
8 growth is dramatically different between the two groups, but
9 the cost growth difference is relatively small.

10 The takeaway is that hospitals can reduce costs
11 when their volume goes down. In general our work and the
12 literature suggest that between 10 and 30 percent of
13 hospital costs are fixed over a one-year period. The vast
14 majority of costs can be adjusted when volume changes.

15 Now, we have provided some background on excess
16 capacity in the system and the strength of access and the
17 availability of capital. All of these adequacy indicators
18 are strong. We also discussed the degree to which hospitals
19 can adjust costs in response to the declining volume that we
20 see. In December we will come back with information on
21 quality, costs, and payments. At that time we can discuss
22 hospitals' ability to reduce costs in response to fiscal

1 pressure.

2 Now it's open for discussion.

3 MR. HACKBARTH: Okay. Thank you.

4 So let me, for the benefit of the audience, just
5 say a little bit more about the update process. As I said
6 at the outset, this was an initial presentation pertaining
7 to one piece of the hospital analysis.

8 We use for our update recommendations a payment
9 adequacy framework that takes into account a variety of
10 different factors, some of which were touched upon in this
11 presentation. The factors include beneficiary access to
12 care, access to capital for the providers, quality of care,
13 and financial performance. And so I really want to
14 emphasize that it is -- there are multiple considerations.
15 It's not about simply looking at the financial margins and
16 saying that's what determines an appropriate update.

17 In terms of the process, for those of you in the
18 audience who aren't familiar with our process, next month at
19 our December meeting I will present a series of draft
20 recommendations on updates for each of the provider payment
21 categories in the Medicare program. We'll discuss those
22 draft recommendations at our December public meeting. Based

1 on that conversation, I will come back with recommendations
2 in January. The actual votes on the recommendations will
3 happen in January, and the results of those votes, the final
4 recommendations will be included in our March report to
5 Congress.

6 So that's the process that we are now beginning
7 for at least hospital services. So let's move to our Round
8 1 clarifying questions for Jeff and for Zach. I have Bill
9 and Jon, and then we'll go around this way.

10 DR. HALL: On Slide 3, if we look at the
11 inpatient/outpatient utilization, the assumption we're
12 making is that these two phenomena are closely related, and
13 that seems reasonable to do. On the other hand, there are a
14 lot of other factors that could influence the increase in
15 outpatient utilization.

16 Do we know whether the rise in outpatient
17 utilization is largely due to hospital-acquired practices?
18 Or is this a general phenomenon about all ambulatory care?

19 DR. STENSLAND: We'll get back to you, but there
20 are several factors that play into it. A minority part of
21 it would be the increase in the facility fees that you see
22 when the hospitals acquire the physician practices. Another

1 minority part would be the switch to observation status.

2 And then the majority is other factors.

3 DR. CHRISTIANSON: Two quick questions of
4 clarification. First, for Zach on Slide 7, indicators of
5 accessing capital under merger and acquisitions, the second
6 bullet point. I thought I heard you say, Zach, that the
7 majority of activity was large hospitals acquiring small
8 entities. But the second bullet point wouldn't suggest that
9 to me. That suggest it's large acquisitions, you know, like
10 larger systems buying other systems. Which is that you want
11 to say?

12 MR. GAUMER: So the examples that we're drawing
13 from here are a tenant making large acquisitions in the last
14 year and also community health buying a large system. So
15 that's what we're saying, that large systems are purchasing
16 smaller systems or smaller hospitals.

17 There's also the example of LifePoint, which has
18 been buying up small rural hospitals, some in partnership
19 with Duke University.

20 DR. CHRISTIANSON: Okay. So it's a mix of large
21 systems acquiring other larger systems and systems requiring
22 small rural hospitals.

1 MR. GAUMER: That's correct.

2 DR. CHRISTIANSON: Okay. And a quick question for
3 Jeff on Slide 10. Is that slide -- when you did that slide,
4 did that include critical access hospitals?

5 DR. STENSLAND: No.

6 DR. CHRISTIANSON: I didn't hear you. I'm sorry.

7 DR. STENSLAND: No, it did not include critical
8 access hospitals because their cost accounting system is a
9 little different, so those are not quite comparable.

10 DR. CHRISTIANSON: Yeah. Okay, thanks.

11 DR. NAYLOR: On the same slide, can you comment on
12 the cost per discharge in prior years? Are we seeing what
13 have been the trends? So this is based on occupancy, but
14 I'm looking at the Medicare cost per discharge bottom line
15 from 2011, 2010. Are we see rises across the board?

16 DR. STENSLAND: We're seeing small increases in
17 the last few years, but part of what motivated this is we
18 saw much larger increases in the prior years, when volume
19 was relatively flat. And then when inpatient volume went
20 down, we actually saw smaller growth in cost per discharge.
21 And there are some other reasons for that, but in general,
22 the trend is toward slower cost growth.

1 DR. NAYLOR: Thank you.

2 DR. MILLER: And I wanted to make this
3 clarification. I think it's more the next slide. We do
4 think -- a lot of his statements about cost growth in this
5 presentation are about how it relates to occupancy or change
6 in volume. There are broader trends that also affect cost
7 growth, and I think --

8 DR. NAYLOR: I'm talking about price and other
9 [off microphone] -- I'm trying to figure out how much of
10 this is a reflection of changes in price over time that
11 would affect cost per discharge regardless of occupancy.

12 DR. MILLER: Yeah, and I think next month we'll
13 talk more broadly about the trends in cost per discharge
14 growth. But I think the intent here is to try and draw
15 this, all else being equal, how much does volume have an
16 effect on its price? And I think for this presentation most
17 of your statements are really in that context. And then
18 there's a separate conversation that will occur in December
19 about what is the overall trend in growth and cost per
20 discharge. Because you're right, there's a lot else going
21 on that influences that.

22 DR. COOMBS: On Figure 3 on page 7, you have

1 double bar graphs, and I was wondering if the number of
2 hospitals involved in deals, if you could decipher whether
3 or not there was clinical alignment as a motivation for why
4 deals were being made. Do we know that? Or is that
5 something we're going to have later in terms of being able
6 to -- is it financial alignment or clinical alignment?
7 Sometimes the clinical services that are required force the
8 smaller hospitals to kind of align with larger systems.

9 MR. GAUMER: You know, we have a little bit of a
10 detail on what these deals are. Most of it I would say is
11 financial alignment and a need for smaller hospitals to
12 access more capital or for smaller systems to access even
13 more capital. But the issue of clinical alignment, I can go
14 back in and take a look and see if there's anything there to
15 dig out.

16 DR. COOMBS: In the past we've done the mapping.
17 I don't know if that's a possibility for where deals are
18 occurring geographically.

19 MR. GAUMER: We could take a look at that as well.

20 MR. HACKBARTH: Additional clarifying questions?

21 MR. GRADISON: I realize the numbers of hospitals
22 closing and opening is very small. It appears, from what

1 you've said, that the closers at tending to be full-service
2 hospitals, replaced to some extent with more limited
3 services, and that I think in some states like Georgia, it
4 is actually being encouraged by the state government.

5 I'm interesting in whether you have -- however, I
6 know these numbers are small of closures and openings, but
7 have you broken it down, or could you break it down for us
8 with respect to whether there are states which the
9 expansion, the Medicaid expansion states versus the non-
10 expansion states -- maybe this one year won't mean anything,
11 but over a period of time, it might be just interesting to
12 see what that looks like.

13 MR. GAUMER: Okay. That is something that we've
14 looked at. Just out of curiosity, you probably got that
15 tone in the mailing material. And what we see, overall
16 about half of hospitals are located in states that chose not
17 to expand Medicaid under PPACA, and about two-thirds of
18 hospitals that closed, still a very small number that you're
19 looking at here, are in states that chose not to expand
20 Medicaid.

21 So we'll be looking in future years as the
22 Medicaid plays out in 2015 and '16 to see if that phenomenon

1 continues to exist, but right now, there looks to be a
2 slight relationship between the two. But 67 percent of
3 hospitals, essentially, that closed are in those states.

4 MR. GRADISON: Thank you.

5 With regard to hospital employment, can you break
6 down the numbers with regard to the increase in physician
7 employment by hospitals versus other employment numbers by
8 hospitals?

9 MR. GAUMER: At this time, I can't do that, but
10 that's something that we can look at in the next month, and
11 we plan to, so we can come back to you on that.

12 MR. GRADISON: Finally, on page 11, at the top of
13 the briefing paper, there are various reasons adduced why
14 inpatient use may continue to decline. That's a fine list.

15 There's a very subjective one that I didn't see
16 there. I'm not necessarily suggesting you add it, because I
17 know it's subjective, but my sense is that there are a lot
18 of people out there that really are afraid of being in a
19 hospital, and there are legitimate reasons for being afraid
20 of being in a hospital, which is based on a lot of data
21 that's been accumulated over the years.

22 I only mention that because, in close calls, it

1 might have some bearing on occupancy.

2 Thank you.

3 MR. HACKBARTH: Can I follow up on Bill's question
4 about physician employment numbers?

5 It seems to me that those numbers might be a
6 little bit squirrely in that there are a lot of different
7 ways that you might choose to structure a relationship
8 between hospitals and physicians, some of which are
9 literally employment in the traditional sense, but others
10 might include contractual relationships that from the
11 perspective of the outside world in terms of their economic
12 behavior, they're not employment relationships, but
13 practically, they're the same. So I'm not sure if I, as I'm
14 thinking like a lawyer here, would necessarily put a whole
15 lot of credence in numbers that are strictly based on
16 traditional employment relationships.

17 MR. GRADISON: My interest in it really goes to
18 what impact a significant increase in relationships, however
19 they are defined, might have on fixed cost, but I appreciate
20 that then depends a lot on what is the contractual
21 relationship.

22 MR. HACKBARTH: Right.

1 MR. GRADISON: And my sense of it is that there
2 are greater expectations and built-in protections with
3 regard to the work efforts of physicians than there used to
4 be, but that is really where I am coming from.

5 MR. HACKBARTH: Yeah. So the question is an
6 important one. I am just not sure how robust the data are
7 to reliably answer or address your question.

8 Other clarifying questions? Warner.

9 MR. THOMAS: Just a couple of questions on the
10 analysis, the group of hospitals. Did you look at any sort
11 of regional differences or the size of the facilities as far
12 as occupancy by the various size of facilities and/or
13 teaching/non-teaching? Do you look at that? Are there any
14 trends or differences there?

15 MR. GAUMER: In terms of closures?

16 MR. THOMAS: Not necessarily. Just in terms of
17 cost. So, I mean, when you look at the occupancy
18 percentages, it may look very different in a larger or
19 midsize facility versus smaller, and I just didn't know if
20 there's -- you know, we're kind of making the conclusion
21 that there really are not a lot of fixed costs. I don't
22 know if there is any difference based upon the size of the

1 facility or not. I was just curious whether that was
2 anything that was examined.

3 MR. GAUMER: Teaching/non-teaching, I don't think
4 we see a big difference.

5 We do see a difference in size. So this general
6 analysis I have presented here is for the hospitals that
7 have more than 2,000 discharges per year, which is the vast
8 majority of them, and there are some hospitals that are
9 really small. Those at 500 or 2,000 was another category we
10 looked at, and for those hospitals, a bigger share of the
11 costs look like they're fixed, like maybe half.

12 MR. THOMAS: Right.

13 MR. GAUMER: And there's a couple of reasons for
14 that. One is they tend to have really low occupancy, like
15 about 33 percent occupancy. So you think for every full
16 bed, you have a lot of empty beds.

17 MR. THOMAS: Correct.

18 MR. GAUMER: And so you have more fixed cost per
19 discharge that way.

20 Also, with some of those small hospitals we
21 visited, it is harder for them to somehow reduce their
22 staff. Like if you have 4 pharmacists and 12 pharmacy

1 techs, it is easy to reduce one. If you have one pharmacist
2 and that is all you have, it is hard to go from one to zero.

3 MR. THOMAS: Core staffing, right.

4 Was there any sort of review? I guess the
5 question is would it be helpful to us to understand or to
6 see a little bit deeper analysis of what that looks like by
7 size of facility, so that would be a question.

8 I guess the other question is it seems as though
9 we are really looking at cost per discharge as we know more
10 and more services are on an outpatient basis. How are we
11 determining access for beneficiaries to outpatient services
12 and outpatient cost?

13 MR. GAUMER: When we look at outpatient volume,
14 that's largely what we do to get at beneficiary access to
15 outpatient services that are being provided by the hospital.

16 This year, we are also looking at adjusted
17 admissions to see in general what's going on with combined
18 volume.

19 MR. THOMAS: Right.

20 MR. GAUMER: What am I missing here?

21 DR. STENSLAND: In terms of cost, we will come
22 back in December and look at the growth in cost per unit for

1 outpatient and inpatient.

2 MR. THOMAS: Outpatient.

3 Yeah. Because, I guess, you know, obviously you
4 have shown the trends of there is a reduction in inpatient,
5 so we could certainly make the assumption that access for
6 beneficiaries should be certainly adequate or maybe
7 improving, frankly. I guess the question is what is it on
8 the outpatient area of where we see a tremendous increase in
9 utilization and a transfer from the inpatient to the
10 outpatient arena and what sort of impact may that have on
11 access.

12 And I think really the economics of hospitals are
13 changing, and looking just at the inpatient component
14 probably only tells you a part of the story.

15 MR. HACKBARTH: So, Warner, on that question, the
16 beneficiary access to outpatient services, what we do do as
17 part of the physician and other health professional fee
18 schedule discussion is survey Medicare beneficiaries about
19 their access to care.

20 MR. THOMAS: Okay.

21 MR. HACKBARTH: From a beneficiary perspective, I
22 would guess that when you ask them do they have trouble

1 getting a physician appointment, they are not making
2 distinctions between what is a hospital outpatient or a
3 physician office. They are just saying I can get to see a
4 doctor when I need to or I can't, and so that's more how we
5 get at the question of can beneficiaries see physicians when
6 they need to.

7 MR. THOMAS: And I think, probably, more of my
8 question is around if we make a determination around
9 hospital costs strictly on cost per discharge, is that
10 really what we should be looking at in total, given that so
11 much more of the services in a hospital are on an outpatient
12 basis. That is really probably more of the question, and I
13 am just trying to understand that area.

14 MR. HACKBARTH: And we will come back to that
15 topic, rest assured.

16 MR. THOMAS: Okay.

17 MR. BUTO: Warner, before you move on, I just
18 wanted to ask, doesn't the Commission analyze ambulatory
19 sensitive conditions, so conditions that would show up if
20 people were having difficulty accessing outpatient care? I
21 think that is another way to get at your question.

22 MR. THOMAS: Okay, thank you.

1 And then the final question is just -- really,
2 it's just a point of clarification. On the inpatient, when
3 we look at discharges, how are claims that are being
4 reviewed or in the RAC process handled? Are they in the
5 number or out of the number? I don't know how material that
6 is to the total amount. It may be immaterial to the total
7 amount. I just was curious.

8 DR. STENSLAND: They would be in the number,
9 initially.

10 MR. THOMAS: Okay.

11 DR. STENSLAND: I'm not sure it's even always
12 consistent that people actually go back and refile claims to
13 say this is now I switched to an outpatient. I think it
14 probably just stays in there as an inpatient claim.

15 MR. THOMAS: Okay, thank you.

16 DR. MILLER: Any comment on how material it is?
17 We had a discussion, and I think some of the view is it
18 probably doesn't influence this a lot.

19 Well, I want Jeff to either say yes or no to that.

20 DR. STENSLAND: Yeah, not a lot.

21 DR. REDBERG: Thanks. It was an excellent
22 chapter, and I just wanted to go into a little more detail

1 on what was driving closures and openings, but I think Alice
2 and Bill and Warner kind of addressed a lot of the issues.

3 So the only one left that I wanted to still look
4 at is in the openings. Do you have any sense of what was
5 driving? You said a lot of them were very small hospitals.
6 Were they in areas where there wasn't any other hospitals?
7 Do they seem to be in more rural areas, undersupplied areas
8 in some way? Is there some way to project where these are
9 occurring?

10 MR. GAUMER: Yeah. We have a little bit of a
11 sense. They do tend to be slightly more urban than rural.
12 They don't seem to be targeting extremely rural locations,
13 so we are not seeing a lot of critical access hospitals
14 opening up out of those. Is it 18? I would call it more
15 ex-urban locations.

16 A few years ago, a lot of the new hospitals were
17 what you or I might call a "specialty hospital." They
18 focused on one type of surgery or ortho or something. Now
19 they seem to do a little bit more of a mix, ER as well as
20 ortho and some outpatient clinic stuff, so they're kind of
21 diversifying a little bit. But they are still very small
22 facilities that are opening that seem to be getting at a

1 niche to challenge the larger hospitals in their area. So
2 they are not isolated locations. Yeah.

3 DR. HOADLEY: Two questions. One, will you
4 include in the December session, an update on uncompensated
5 care and uncompensated care payments?

6 DR. STENSLAND: Nothing much has changed. If you
7 want it, I'll do it.

8 DR. HOADLEY: Okay.

9 [Laughter.]

10 DR. HOADLEY: I mean, if the answer is nothing has
11 changed -- I mean, obviously, at some point, we are going to
12 see big changes as a result of ACA-related stuff, but it's
13 probably too early.

14 DR. STENSLAND: We will talk about how the pool of
15 uncompensated care dollars as shrunk as the number of
16 insured people has expanded and then how that affects
17 hospitals.

18 DR. HOADLEY: Okay.

19 And then my other question, on Slide 4, on
20 occupancy rates and really more on the comments you made
21 about some of the variations, urban, rural, and regional,
22 obviously occupancy rate has got an enumerator of patients

1 and beds and a denominator of beds, and so the trends or the
2 variations in one part of the country and another or urban
3 versus rural could be driven by either numerator or
4 denominator changes. Do you have a sense if one is more
5 important in what's been happening than the other in terms
6 of some of those variations?

7 DR. STENSLAND: I think it is mostly all in the
8 numerator. We don't see a lot of bed change going on.

9 DR. HOADLEY: Okay. And is that also true sort
10 of, say, urban to rural or regional, so that when you see
11 the difference in occupancy rate, urban versus rural, it is
12 more driven by patients than, say, beds per capita?

13 DR. STENSLAND: I think that is true, and I think
14 there's some stuff in your mailing materials. I think it's
15 still there. For brevity, maybe we took it out, but the
16 decline in use is bigger in the rural areas than in the
17 urban areas, and there just tends to be some more things
18 that are happening in urban areas than in rural areas. And
19 part of this might just be the moving surgeries to places
20 with higher volume, things like people are getting are
21 getting helicoptered away for their reperfusion now rather
22 than staying in the rural area and getting thrombolytics and

1 that kind of thing.

2 DR. HOADLEY: Okay, thank you.

3 MR. HACKBARTH: Clarifying questions? Any?

4 Let me ask a question that I asked last year and
5 can't remember the answer to. When we talk about occupancy
6 rates, are we talking about staffed beds as opposed to just
7 licensed beds?

8 MR. GAUMER: Yes. These are staffed beds.

9 MR. HACKBARTH: What I can never quite come to
10 grips with is why an institution would continue to staff
11 beds if they are chronically at, say, 60 percent occupancy.

12 DR. STENSLAND: I think to answer this -- they
13 have it as a staffed beds question, but I think the answer
14 to this really is, is it a staffable bed. So do you have a
15 room with a bed in there?

16 For example, we had a hospital we visited that had
17 all -- they reported all these staff beds, but there was one
18 whole wing, they just don't use at all.

19 MR. HACKBARTH: Right. Right.

20 DR. STENSLAND: So you are not hiring people to go
21 there. You're not buying any new equipment for in that
22 wing, but they still report them as staffed beds. And I

1 kind of think of it more as staffable.

2 MR. HACKBARTH: So if that's the case and so we're
3 doing an analysis based on the staffable beds and looking at
4 changes in those occupancy rates and trying to assess
5 implications for what costs are variable, given that we are
6 using a bed count that includes lots of beds that don't have
7 any staff associated with them, doesn't that make the
8 analysis sort of weird?

9 DR. STENSLAND: I think that's kind of the point,
10 at least for the variable ones we studied, because at least
11 for the long term, when we studied these plays with really
12 low occupancy, they don't have a lot higher costs. And part
13 of that, you can think of, "Well, we just shut off that
14 whole wing. We don't have any people there." So it's
15 saying in the long term, you can shut off the wing and have
16 very little extra costs associated with that empty wing.

17 MR. HACKBARTH: Yeah, yeah.

18 DR. STENSLAND: With the other one, it's a year-
19 to-year variation, so you are changing from one year to the
20 next year, and that's just saying, "Well, if you have fewer
21 people in the hospital, you have fewer people looking after
22 those beds," and you can make those employment changes.

1 Likewise, if you have more people in the hospital,
2 start filling those staffable beds, and you start staffing
3 them, then your costs go up.

4 MR. HACKBARTH: Yeah, okay.

5 This staffable beds concept, I'm not sure how --

6 DR. COOMBS: Glenn, you asked this question last
7 year, and I just want to say there are local things
8 sometimes in operation, like the DPH, Department of Public
9 Health, will license a hospital for a certain number of
10 beds. That means that hospital should be capable --

11 MR. HACKBARTH: Right.

12 DR. COOMBS: -- of filling those beds, even though
13 they don't fill those beds. So sometimes it's the -- I
14 can't speak to the rural --

15 MR. HACKBARTH: Yeah, I understand that.

16 DR. COOMBS: -- but I can speak to the suburban
17 hospitals. Sometimes it's that you have to have the
18 capacity to take care of those beds in which you've been
19 licensed for.

20 MR. HACKBARTH: Right. And to me, that's sort of
21 not all that a useful number. It's sort of an artificial
22 construct.

1 Kate is going to educate me, thought.

2 DR. BAICKER: No. I'm going to ask a follow-up
3 question related to that, that seems like one way of getting
4 at that as well as getting at what we think of as really
5 access. Do you only have a measure of average occupancy per
6 hospital year, or do you also have a measure of the variants
7 or the sort of peak flow? We don't want hospitals to be
8 operating at 100 percent occupancy, because they need
9 capacity for what is lumpy admissions, and that would also
10 get at this question of, Is it ever staffed, or is it really
11 like the wing is shut off?

12 So are there available measures of either variants
13 or max occupancy or the 75th percentile of occupancy for
14 that hospital to get a sense of what's really surge capacity
15 versus not capacity?

16 DR. STENSLAND: We don't have that data. That
17 data does exist, and some people have looked at it, and they
18 often suggest, "Well, you really don't want to be going
19 above 80 percent occupancy, because there are a certain
20 amount of variants there." Then the economists all have a
21 footnote in their papers that there is a little normative
22 question over how important is it to always have that surge

1 capacity versus the savings by having a little less surge
2 capacity.

3 MR. THOMAS: Glenn, just a comment on the
4 staffable component. You can correct me if I am wrong, but
5 my guess is the occupancy rates do not include observation
6 patients that sit in inpatient beds, which --

7 MR. GAUMER: We did include that.

8 MR. THOMAS: Okay.

9 MR. GAUMER: We didn't used to, but --

10 MR. THOMAS: But that's in the numbers now?

11 MR. GAUMER: It's now in the numbers, and swing
12 beds are built into that, as well.

13 MR. THOMAS: Because I know that has a material
14 impact for most organizations.

15 MR. HACKBARTH: Other clarifying questions?

16 [No response.]

17 MR. HACKBARTH: Let me ask a round two question,
18 Jeff. This pertains to your statement about the potential
19 implications of this analysis for ACOs.

20 So, if I understood you correctly, you said this
21 suggests that maybe a hospital-based ACO can reduce
22 admissions and still do okay.

1 Now that -- implicit in that is how much the
2 hospital shares in any ACO savings which, in turn, is a
3 function of both what the regulatory rules are -- you know,
4 the 2 percent threshold and the share of the savings. But
5 it also includes other issues like, as Dave as pointed out,
6 what costs are incurred to achieve those reductions and then
7 how savings are split between the hospitals and physicians.

8 So my point isn't to disagree with what you say
9 but just that there are actually a lot of things that go on
10 that determine whether, in fact, a hospital-based ACO, the
11 hospital, benefits from reductions in admissions.

12 Round two comments?

13 Jay and then Mary and Alice.

14 DR. CROSSON: Yeah, I think my comment, to some
15 degree, builds on what you just said.

16 So I was pretty pleased to look at the analysis
17 that shows that hospitals seem to be able to respond to
18 reductions in admissions because if they were not able to
19 that would create a pretty significant barrier to hospitals
20 being part of ACOs or, in other ways, accepting population-
21 based payment, which is a direction that I think is a good
22 direction.

1 On the other hand, if you look at how the hospital
2 community is viewing the movement towards global payment, or
3 population-based payment, there a sense at least in some,
4 among some, that it's an existential threat.

5 And, in order to deal with that, they need a
6 business plan which includes hiring a lot of physicians and
7 trying to buy market share and, essentially freeze out the
8 hospital at the other side of town.

9 So there's a difference, it seems to me, between -
10 - and maybe you were getting at this as well -- between the
11 analysis we have and at least the reaction of some hospitals
12 out in the community.

13 So it's hard to know how it's going to transpire.

14 And one would hope that adaptation of some sort,
15 like you describe, with creating positive incentives for
16 hospitals as part of the global payment incentives, is a
17 good thing.

18 However, it seems to me that long-term it's
19 probably in our interest to track -- and I think it's
20 already being done, but to continue to track perhaps in more
21 detail the nature of the hospitals who do fail at the game
22 of musical chairs and end up closing.

1 And the question is really are they the right
2 hospitals to close?

3 Do we have, as we've found in some other areas of
4 payment, a general picture which is salutary, but in certain
5 parts of the country, in certain types of hospitals, we have
6 hospitals failing, who, because of their impact on the
7 community, beneficiary access or other reasons, probably
8 should not be failing?

9 And it's just a long-term hope that, particularly
10 if the numbers of hospitals closing are not that large -- if
11 we're talking about, for the moment, double digit, single
12 digit numbers of hospitals -- that we could gain over time
13 some experience that might tend us to think about a policy
14 issue down the line.

15 DR. MILLER: If I could, a couple things.

16 I think to your first point about the perception;
17 I think it's right on point.

18 And I think in some ways the intent and the reason
19 that we went through this analysis is on the cost anyway, on
20 the volume and cost relationship, there is this wide
21 perception it's all fixed. You know. And so in the short
22 run, you really take a big hit.

1 And I think your analysis is saying, wait a
2 second. You know, maybe there is more and Alice's comment
3 about what happened in her community.

4 To your second point on the hospitals that close,
5 I thought this was in the paper, but also, there is so much
6 traffic that's run through my head. So I might not
7 remember.

8 I thought we were sort of saying these hospitals
9 tended to have very low occupancy. They had been in
10 financial trouble for some period of time prior to this.

11 MR. HACKBARTH: It's in the paper.

12 DR. MILLER: Was it? Okay.

13 Yeah, so some of that got in there.

14 So I'll stop.

15 DR. CROSSON: I think my comments were more
16 future-oriented.

17 That may be the case now.

18 The question is over the next few years, is that -

19 -

20 DR. MILLER: Flip, I see.

21 DR. NAYLOR: So, thank you. This was a really
22 outstanding paper.

1 I have been perplexed by the issue of -- I am sure
2 I still am -- the relationship between bed occupancy and our
3 Medicare programs and different options.

4 I do come at this thinking about what we know from
5 evidence about who should be in those beds among the
6 Medicare beneficiaries; who would benefit from
7 hospitalization.

8 And we still know that beyond the issue of
9 capacity we have large numbers of beneficiaries in those
10 beds who don't need to be.

11 So that's my frame.

12 I probably was among the ill-informed about the
13 fixed costs and what proportion we now know from your
14 analysis, which was terrific, are fixed costs.

15 But I still guess I wonder; whether or not for the
16 low occupancy, the third that are in the low occupancy,
17 should we not be thinking about what it is that we can do?

18 Twenty percent fixed costs is still real dollars
19 in that if you spread it among the third that are in the low
20 occupancy it's still a substantial amount of Medicare
21 dollars.

22 I'm wondering; should we not be thinking about

1 incentives to help those facilities transition, very
2 sensitive to the point being raised about making sure that
3 we're doing it with -- and as the paper reported -- the
4 rural, attention to the needs of people in rural and so on?

5 But can't we work toward helping in payment policy
6 transitions to other kinds of services, knowing that we
7 still have too many people in beds that don't need to be
8 there?

9 I don't know if that made any sense, but --

10 DR. MILLER: Well, yeah, because in some ways, it
11 connects to Jay's comment.

12 So, if you expect some reduction in beds and
13 closures as a result of what looks like the secular
14 inpatient admission, you do have this issue of if you are an
15 isolated hospital and the only source of care and should you
16 have supports for that.

17 Or, alternatively, I take your comment as or
18 should our policy in those kinds of situations support some
19 other configuration of care?

20 You know, an emergency room with a good
21 transportation system. I'm being very glib here, but you
22 see what I mean.

1 And I think those are conversations, and I think
2 it does kind of implicate what we end up talking about,
3 particularly in isolated and rural areas, if this trend is
4 going to head in this direction, for both the fixed costs
5 point and the isolation point that Jay was making.

6 MR. HACKBARTH: Okay. We are getting down to our
7 last few minutes.

8 Can I see the hands of people who want to get in
9 on this round? So, one, two, three, four.

10 Okay, Alice.

11 DR. COOMBS: As far as the hospital employment,
12 I'd be interested to know whether or not these were people
13 tied to clinical activities, direct clinical activities. I
14 think that would be an interesting data set for us.

15 I think that in terms of hospital fixed costs it's
16 one of those things that correlates with what the hospital's
17 goal and mission is in terms of the services that they
18 provide.

19 For instance, if you have a trauma service and you
20 think that that's an essential part of your clinical
21 services, then that becomes a part of the fixed costs for
22 the whole umbrella organization.

1 However, if margins decrease and you decide that
2 that service, you can no longer afford it, that service is
3 eliminated. And the fixed costs certainly go down because
4 you've eliminated a very costly service.

5 One of the things is to balance the requirements
6 of the clinical services for your area. For instance, if
7 you're the only trauma center, level one trauma center, in a
8 large geographic area, that could mean that the Medicare
9 beneficiaries would not have access to those services.

10 But I think hospitals are moving toward this thing
11 of Center of Excellence and being able to regionalize
12 certain types of care.

13 And I think the problem we get into now is that
14 everyone wants a PET scanner; everyone wants a number of
15 things.

16 And that's calculated into many of the hospitals'
17 fixed costs because having that instrument is one of those
18 things where we say a standby capacity -- is it standby
19 capacity; is it something that's an essential part of the
20 clinical program?

21 So it's hard to kind of get your arms around what
22 is fixed costs because fixed costs is a product of what you

1 think is necessary for your community -- the community that
2 is being served.

3 And I think hospitals are doing okay with a
4 reflection of the volume decrease and still being able to do
5 quite well.

6 I worry about the maldistribution of some of the
7 DSH hospitals and some of the hospitals that are
8 marginalized by vulnerable populations and not having the
9 payer mix. So that may become an issue in terms of their
10 sustainability.

11 It's one thing for us to just see Medicare --
12 patients through the Medicare lens. But it's another thing
13 to see, well, how does that hospital function with the payer
14 mix that it has to be able to do a better job with the
15 Medicare beneficiaries as well?

16 So I think those are the things that I would be
17 concerned.

18 And Centers of Excellence doesn't mean mergers and
19 acquisitions. I mean, there are ways that you can have
20 clinical programs that are tied to other programs without
21 the financial obligations as well.

22 DR. SAMITT: So I have one comment and one

1 request.

2 Great job on the chapter.

3 The comment is that I was actually surprised with
4 these results for the same reasons that other described it.
5 I had perceived that fixed costs would be higher in the
6 hospital setting.

7 And I actually think that this study that you've
8 done is good news. It's good news because the paradigm
9 seems to be that salvation for hospitals is in volume when
10 now the reality from this is that it gives hope for health
11 system-sponsored ACOs or health system-sponsored MA plans.

12 And I would hope that this work would motivate
13 more hospitals to consider alternative payment structures
14 where to date it seems as if there have been some that have
15 resisted that notion for fear of this fixed costs issue,
16 which seems to be less of an issue.

17 My request pertains to slide eight, and your
18 comment about niches that have been created points me to the
19 development of freestanding emergency departments.

20 I would like to understand a bit more about that
21 in the future:

22 Where are these freestanding ERs developing?

1 What is their payment infrastructure?

2 What is the intent of freestanding ERs?

3 And is this the development of sort of a new

4 trend that we should be carefully watching to see

5 whether they make sense and to what degree that improves the

6 quality of care or the cost of care for both inpatient and

7 outpatient services.

8 DR. NERENZ: Well, again, to compliment the work

9 here, the point here about fixed costs, I think, is

10 profoundly important. It challenges conventional wisdom

11 and, as others have said, has implications for ACOs and

12 other initiatives. So I just love the analysis done here.

13 The focus you had was on costs, and that's fine.

14 I just wonder as we go forward if there are any

15 issues related to quality that we could look at under the

16 same label.

17 I think there's this widely understood volume

18 outcome or volume quality relationship, and presumably,

19 hospital downsizing would run in the wrong direction for

20 that. But it remains to be seen whether that's significant

21 within a fixed period of time within a hospital.

22 And then also, I'm thinking about some issues of

1 personnel specialization, where a relatively large hospital
2 can have OR nurses who specialize in this or that kind of
3 procedure, and as a hospital downsizes, presumably, you
4 would lose some of that ability.

5 You know, your own example of the pharmacist and
6 the pharmacy techs would be sort of just one example of
7 that.

8 So I'm curious as this goes along; are there any
9 inflection points in the downsizing process where you cross
10 a critical mass line, where a function you need to have you
11 can't have anymore, or full-time now becomes part-time, or
12 presence becomes absence?

13 And I know that's beyond the scope of what you've
14 done, but I'd be curious about some of those things going
15 forward.

16 MR. THOMAS: This would be some additional, and I
17 brought this up in the clarifying questions.

18 But you do comment in the chapter around comparing
19 some regions that had pretty significant differentials in
20 occupancy.

21 And I think it would be helpful for us to
22 understand if there are different cost trends based on the

1 geographies and based on the difference in occupancy and
2 maybe higher occupancy geographics versus lower occupancy
3 geographies.

4 And just, is there anything that can be learned
5 from that?

6 And, once again, the size of the facility, does
7 that play a role in those areas or not?

8 The ones that are referenced are more urban, but
9 then you also make comments about the rural areas where
10 generally you're probably going to see lower occupancy in
11 the rural areas anyway.

12 MR. ARMSTRONG: Actually, the points that I was
13 going to make have been made already.

14 I do think it's worth just acknowledging -- this
15 analysis is terrific.

16 It's a pretty narrow question, though, and I was
17 impressed by the degree of flexibility in costs with
18 fluctuation in census but putting it in the context of the
19 chapter next month, I think, is really vital.

20 I'm not sure if this relates to next month's
21 chapter or not. I also just have to acknowledge that this
22 evaluation of payment policy and its impact on access to

1 hospital services is interesting.

2 But I'm also very interested in the huge regional
3 variation in just the beds per thousand and the degree to
4 which -- whether it's beds per thousand or days per thousand
5 Medicare beneficiary, how those ratios regionally have an
6 impact on the overall cost to the Medicare program.

7 To the degree that, too, could be part of the
8 chapter that we're talking about next month, I would find
9 that interesting.

10 If that's beyond the scope, at some point, I think
11 that's a relevant issue for us.

12 MR. HACKBARTH: Okay. Thank you very much, Jeff
13 and Zach.

14 Now we'll move on to hospital short stay issues.

15 While our presenters are getting settled, let me
16 just say a word for our audience about this. This is our
17 second or third discussion of this?

18 DR. MILLER: It's our second or third.

19 MR. HACKBARTH: Mark confirms it's our second or
20 third conversation about this.

21 And we will be moving toward recommendations, I
22 hope, but they are not likely to happen in time for

1 inclusion in our March report.

2 As will be described here in the presentations and
3 discussions, there are a number of different closely related
4 issues that we are including under the rubric of short stay,
5 hospital short stay policy. And rather than peeling off
6 individual items, we're going to try to produce a package
7 that covers the range of issues, but that's going to take us
8 a little time to put together.

9 So, with that preface, are we ready to go?

10 MS. CAMERON: Good morning. Today we are here to
11 continue the discussion of short stay hospital issues. We
12 will be responding to questions that you specifically raised
13 in the September meeting, and we'll begin to discuss policy
14 options that arose from that discussion.

15 Before we start, we want to thank Julian
16 Pettengill, Jeff Stensland, and Valerie Aschenbach for their
17 contributions to this work.

18 In today's discussion we are going to briefly
19 recap the issues that have arisen around short hospital
20 stays, CMS' efforts to address these issues, and beneficiary
21 and provider characteristics associated with observation
22 stays. Then we'll discuss a range of policy options that

1 could be considered.

2 As we discussed in September, Medicare's inpatient
3 admissions criteria have historically been ambiguous and
4 open to interpretation.

5 One-day inpatient stays are common and paid at a
6 higher rate than similar outpatient stays.

7 The payment difference has spurred RACs to focus
8 on the appropriateness of one-day stays, and they have
9 denied many of those claims.

10 In response, hospitals have appealed many RAC
11 denials leading to an appeals backlog. At the same time,
12 hospitals have increased their use of outpatient
13 observation.

14 In turn, beneficiary advocates have expressed
15 concern about the increased use of observation because of
16 its effect on SNF coverage and beneficiary liability for
17 self-administered drugs.

18 Combined, these events led to the establishment of
19 the two-midnight rule.

20 In September, you asked about the differences in
21 beneficiary characteristics between short inpatient stays
22 and outpatient observation stays.

1 We found that across 15 selected common diagnoses,
2 beneficiaries receiving one-day inpatient stays have a
3 higher prevalence of chronic conditions and have a higher
4 median risk score than beneficiaries with an outpatient
5 observation stay. These beneficiaries were also more often
6 discharged home with home health services compared to
7 beneficiaries in outpatient observation stays.

8 In looking at beneficiaries by length of time in
9 observation, we found that those in observation for 24 hours
10 or longer more closely resembled beneficiaries with one-day
11 inpatient stays.

12 In September, Jay and other Commissioners
13 suggested that we explore mathematical ratios that might
14 enable auditors to target or regulators to reduce payments
15 for hospitals with abnormal admitting practices. In
16 response, we created three hospital-specific ratios as
17 examples; there maybe other ratios suitable for these
18 purposes as well.

19 The first ratio measures one-day inpatient stays
20 relative to all inpatient and essentially all outpatient
21 stays which we define as outpatient observation stays,
22 outpatient emergency department visits, and outpatient

1 surgical stays. The second ratio measures outpatient
2 observation stays relative to the aforementioned
3 denominator. And the third measures outpatient observation
4 stays lasting 48 hours or more relative to all outpatient
5 observation stays.

6 We see one-day inpatient stays occur across all
7 hospitals and at a high rate for a subset of hospitals.
8 When we looked at 10 percent of hospitals with the highest
9 utilization rate of 1-day inpatient stays, we found that
10 these hospitals tended to be urban, teaching, and for-
11 profit.

12 The use of outpatient observation stays varied
13 even more than the 1-day inpatient stays. Small rural
14 hospitals were highly represented in the group of hospitals
15 with a high observation rate, we believe because these
16 facilities can least afford to risk denials of one-day
17 inpatient stays.

18 Lastly, in looking at the ratio of outpatient
19 observation stays longer than 48 hours, we found that a
20 disproportionate share of the outlier hospitals were small
21 facilities with fewer than 100 beds and low volume. Because
22 these facilities have such low volume, they only account for

1 about 1 percent of the payments for long observation stays.

2 Kim will now describe several policy options for
3 your consideration.

4 MS. NEUMAN: To address the complicated set of
5 concerns that have arisen related to short inpatient stays
6 and observation stays, several different types of policies
7 could be considered. Today we'll talk about three types.

8 First, we'll discuss payment policy changes that
9 could be considered to reduce the payment difference between
10 short inpatient stays and similar outpatient stays.

11 Second, we'll discuss changes to the RAC auditing
12 process and hospital rebilling process for short stays that
13 could be considered.

14 Third, we'll discuss potential policy options to
15 address beneficiary concerns related to observation and SNF
16 coverage and self-administered drugs.

17 Before we get started, one thing to note is that
18 both the payment policy options we will discuss and the RAC
19 options we will discuss are potential replacements to the
20 two-midnight rule. If the two-midnight rule were replaced,
21 we would anticipate that the prior admissions criteria,
22 which was an expected need for at least 24 hours of hospital

1 care, would be restored.

2 So first payment policy. In September, we
3 discussed the idea of creating DRGs specifically for one-day
4 inpatient stays as a way to reduce the payment difference
5 between one-day inpatient stays and similar outpatient
6 stays.

7 With one-day-stay DRGs, the payment rate decrease
8 for inpatient one-day stays and increases for inpatient
9 stays of two days or more.

10 Overall, we assumed the policy would be budget
11 neutral, meaning inpatient payments in aggregate would not
12 change.

13 To illustrate the effects, we did a simulation of
14 a one-day-stay DRG policy. We created one-day-stay DRGs for
15 a subset of DRGs with the most inpatient and outpatient
16 overlap.

17 We took 94 existing DRGs, and we split each DRG
18 into two DRGs: a DRG for stays of at least two days and a
19 DRG for one-day stays only. We then took the newly created
20 94 one-day-stay DRGs and collapsed them into 44 one-day-stay
21 DRGs by grouping one-day stays for similar conditions
22 together. We then re-estimated the payment rates for these

1 new DRGs.

2 So in terms of the effects of one-day-stay DRGs,
3 hospitals that have an above average amount of one-day
4 inpatient stays as a percent of all inpatient stays (within
5 the DRGs affected by the policy) will experience a revenue
6 decrease while other hospitals will experience a revenue
7 increase or no change.

8 As shown in your mailing materials, across
9 hospital categories, the impact on revenues was minimal.

10 Also, effects were generally small for most
11 hospitals. Revenues increase for about half of hospitals
12 and decrease for the other half. Eighty percent of
13 hospitals would have a positive or negative revenue change
14 of 1.5 percent or less.

15 In terms of the effect of one-day stays on
16 financial incentives, it's mixed, as you can see in the next
17 chart.

18 These charts show the payment differences between
19 inpatient stays and outpatient observation stays for
20 selected medical DRGs under current policy in 2012 versus
21 the one-day-stay DRG policy we simulated.

22 On the left you can see that under current policy

1 an inpatient stay was paid an average of about \$3,100 more
2 than a outpatient observation stay for the medical DRGs in
3 our example.

4 Now looking at the right chart, we see that under
5 the one-day-stay DRG policy, the payment difference between
6 an outpatient observation stay and a one-day inpatient stay
7 narrows to about \$900. However, a payment cliff is created
8 within the inpatient payment system: a two-day inpatient
9 stay is paid on average about \$3,100 more than a one-day
10 inpatient stay.

11 So overall a one-day-stay DRG policy has
12 tradeoffs. It reduces the payment difference between a one-
13 day inpatient stay and a similar outpatient stay while
14 creating a new payment cliff within the inpatient payment
15 system.

16 In terms of the auditing implications of the two
17 payment policies, under current policy auditing has focused
18 on one-day stays because that's where the payment cliff is
19 located. Under a one-day-stay DRG policy, there would be
20 less need for auditing of one-day stays, but likely a need
21 for targeted auditing of two-day stays.

22 On a related note, in September there was some

1 discussion of site-neutral approaches to paying for short
2 inpatient and outpatient stays. A site-neutral approach
3 might set the rate for a one-day inpatient stay and an
4 outpatient stay meeting certain criteria at the same rate.
5 So looking at the chart on the right, you can think about
6 site neutral as finding a way to set the left two bars at
7 the same level. If you do that, the cliff between an
8 outpatient stay and an inpatient one-day stay goes away, but
9 the cliff within the inpatient payment system (the right two
10 bars) become evens larger. So in that sense, a one-day-stay
11 DRG policy and a site-neutral approach have some
12 similarities.

13 MR. GAUMER: Okay. Now turning to the options
14 related to RAC reviews, we have three different RAC policy
15 options for your consideration. These are independent
16 options that are capable of being packaged together.

17 It's also important to note that these three
18 policies are written as if there was not a payment policy
19 change in place. If a payment policy change were to be in
20 the package of policies, these RAC changes might be defined
21 somewhat differently.

22 Hospital industry research suggests that RAC

1 reviews of short inpatient stays affect the majority of
2 hospitals.

3 In addition, information from the HHS Office of
4 Medicare Hearings and Appeals demonstrate that the number of
5 hospital appeals resulting from RAC inpatient claim denials
6 is overwhelming the claims appeals process.

7 RAC audits and the lengthy appeals process have
8 added administrative burden and extra administrative costs
9 to hospital budgets. Based on the variation we have
10 observed in hospitals' use of one-day stays, there may be
11 opportunities for RAC audits to be more targeted. For
12 example, using the one-day-stay ratio we've developed, we
13 know that 10 percent of hospitals account for 20 percent of
14 all payments for one-day inpatient stays.

15 One policy option here might be to target RAC
16 reviews of inpatient appropriateness toward hospitals with
17 highest rates of one-day stays, as an example, the 10
18 percent of hospitals we referred to on the last slide. A
19 targeted method might identify a relatively large percentage
20 of one-day-stay payments, but do so from hospitals that tend
21 to use more of these one-day stays. This approach might
22 also reduce hospital administrative burden and give

1 hospitals the incentive to keep their admission patterns
2 consistent with their peers. As I stated a moment ago, this
3 option might be defined somewhat differently if the
4 Commission were to package a payment policy change along
5 with these RAC policy options.

6 Now, the budgetary effect of changing to a
7 targeted RAC method is that the aggregate value of
8 recoveries would likely be lower. Therefore, this policy
9 might increase program spending and have a budget score
10 associated with it.

11 However, the magnitude of the aggregate value of
12 recoveries under a targeted method is unclear because we do
13 not know whether or not inpatient claims tied up in the
14 appeals process will be settled or which fiscal years these
15 claims might be tied to once they are settled.

16 The other policy alternative to consider here
17 stems from a comment that one of you made at our September
18 meeting, which was to use the one-day-stay ratio for a
19 hospital-level payment penalty. We can talk about the pros
20 and cons of that more on question.

21 In September we described the conflicting time
22 frames involved in RAC audits and the hospital rebilling

1 policy. Under these policies, CMS permits the RACs to
2 review claims up to three years beyond the patient's
3 discharge date and hospitals to rebill Medicare for denied
4 inpatient claims within one year of the patient discharge
5 date. Initiated by a question from Warner in September, we
6 have learned that RACs commonly deny claims beyond the one-
7 year rebilling window because they review the oldest claims
8 first and work their way to the most current claims. Data
9 from CMS confirm that 75 percent of RAC-denied inpatient
10 claims occurred beyond the one-year rebilling window.

11 One policy option to consider in this case is to
12 allow hospitals to rebill denied inpatient claims as
13 outpatient claims within some period after the RAC notice of
14 denial. This would ensure that at the time a claim is
15 denied by the RAC, the hospital has the option to rebill
16 outpatient rather than appeal. It would also give the
17 hospital a set time limit to choose between rebilling and
18 appealing a RAC denial. Alternatively, the RAC and the
19 rebilling policy time frames could be made more consistent,
20 such as by shortening the RAC look-back period for short
21 stays.

22 Similar to the previous policy concept, this

1 policy would lower the amount of aggregate recoveries by the
2 RACs and, therefore, increase program spending.

3 Different from CMS' other audit contractors, RACs
4 are paid a contingency fee based on a percentage of dollars
5 they recover. This method incentivizes the auditor to
6 thoroughly audit Medicare claims, and it does not require
7 additional money from CMS's administrative budget to fund
8 the RAC program. To a certain extent it is a self-funding
9 program. However, this compensation structure also
10 incentivizes RACs to focus on the high-dollar inpatient
11 claims, even if there is risk that the denial could be
12 overturned on appeal.

13 If a RAC recovery is overturned on appeal, the RAC
14 must return the contingency fee. However, the RAC faces no
15 penalty if they have a high rate of appeals or overturns.

16 One policy option to consider is to adjust RAC
17 contingency fees based in part on the rate at which the RAC
18 claim denials are overturned on appeal. Therefore, if a RAC
19 had a low overturn rate, they would receive a higher
20 contingency fee percentage. This payment structure would
21 increase RACs' incentive to focus on cases where evidence of
22 improper payment is strong and where they have a high

1 likelihood of being upheld on appeal.

2 This policy option may increase spending slightly
3 by reducing the number of claims that the RACs are willing
4 to risk challenging.

5 Okay. Now turning to the issue of the SNF three-
6 day-stay policy:

7 In September we also began our discussion about
8 the SNF three-day-stay policy. The congressional intent
9 behind this policy, when it was created in 1965, was to
10 create a SNF benefit that was strictly a post-acute care
11 benefit. They also intended to prevent Medicare from
12 becoming a long-term care benefit.

13 To be eligible for SNF coverage, a beneficiary
14 must have a three-day inpatient stay in the hospital. In
15 addition, the time the beneficiary spends in observation
16 does not count towards the three-day threshold.

17 Various stakeholders have expressed concern about
18 the interaction between the SNF three-day policy and
19 observation status because beneficiaries receiving
20 observation services are at greater risk of not qualifying
21 for SNF coverage and may face high financial liability if
22 they are actually discharged to a SNF. This concern is

1 real, but we believe it is affecting a small group of
2 beneficiaries. Specifically 100,00 stays in 2012 were in
3 the hospital for three days and did not meet the SNF
4 coverage criteria because part of their three days was spent
5 in observation status. Among this group, about 11,000 were
6 discharged to a SNF, and for these beneficiaries we would
7 expect that they would be left to pay for their SNF care out
8 of their pockets.

9 Making changes to the Medicare SNF three-day
10 policy could be extremely expensive. The policy option we
11 have assembled for the Commission's consideration is among
12 the most conservative approaches to this issue. This option
13 would retain the three-day threshold, begin counting
14 beneficiaries' time spent in observation towards the three-
15 day threshold, and most importantly, require that for at
16 least one of the three days the beneficiary is formally
17 admitted as an inpatient. This concept would maintain the
18 post-acute care nature of the SNF benefit by requiring the
19 inpatient stay. It would also expand the SNF benefit to the
20 growing number of beneficiaries who spend time in
21 observation status.

22 By contrast, a less conservative policy option

1 would be to drop the inpatient admission requirement from
2 the proposal. And at the far end of the spectrum of options
3 would be to discontinue the existing SNF three-day policy
4 completely.

5 The policy option we have included in this slide
6 would increase program spending because it would expand SNF
7 coverage to more beneficiaries. This option would increase
8 program spending to a significantly lesser degree than the
9 two less conservative options I mentioned a moment ago. The
10 degree to which spending will increase for the policy option
11 is somewhat unknown, however, because there is considerable
12 potential for a large behavioral response from
13 beneficiaries, hospitals, or, most importantly, from nursing
14 facilities who house Medicare beneficiaries full-time.
15 Lowering SNF eligibility could cause nursing facilities to
16 send more of their full-time residents back to the hospital
17 to recertify for SNF coverage. It could also make it easier
18 for beneficiaries and hospitals to increase patients' length
19 of stays in order to qualify for SNF coverage, or it could
20 make it easier for hospitals and physicians to discharge
21 beneficiaries to SNFs who had previously been discharged to
22 home health.

1 In this presentation we have outlined several new
2 policy options related to RACs and to the SNF three-day
3 policy for the Commission's consideration. All of these new
4 policies have the potential to increase program spending.
5 However, it is unclear exactly how much these new policy
6 options may increase program spending. MedPAC has proposed
7 recommendations that would produce program savings in the
8 past. Some the Congress has acted on, and others not. In
9 addition to those previous recommendations, the Commission
10 could consider the following policy ideas to generate
11 savings. These ideas have merit in their own right, and we
12 offer them here now because the other policies we are
13 talking about today generate spending.

14 With regard to hospital-related budget offsets,
15 the Commission could consider expanding the hospital post-
16 acute care transfer policy to include hospice transfers.
17 The Commission could also make an adjustment to the IPPS
18 base payment rate.

19 With regard to SNF-related budget offsets, the
20 Commission could consider a benefit redesign approach,
21 whereby beneficiary financial liability was increased to
22 reflect the richer SNF benefit. This could be done through

1 either the Part A deductible or a SNF co-payment.

2 Alternatively, the Commission could consider
3 reducing SNF payment rates through one of three mechanisms.
4 The Commission could recommend that CMS recover overpayments
5 made to SNFs in 2011. The Commission could consider
6 creating a payment policy for nursing facilities that
7 inappropriately send their long-term residents back to the
8 acute care hospital to recertify for Medicare SNF coverage,
9 or it could make an adjustment to the SNF-based payment
10 rate.

11 And Kim will now walk you through the issue of
12 self-administered drugs.

13 MS. NEUMANN: So our last issue is self-
14 administered drugs. Medicare's hospital payment systems
15 cover self-administered drugs for inpatients but not
16 generally for outpatients.

17 Hospitals bill outpatient beneficiaries for self-
18 administered drugs at full charges, and beneficiaries pay
19 out of pocket for the drugs. Those with Part D can submit a
20 claim to Part D and may get partial reimbursement.

21 It is common for beneficiaries in observation to
22 receive self-administered drugs. It appears about 75

1 percent of beneficiaries in observation receive these drugs,
2 and for those beneficiaries who do receive self-administered
3 drugs during their observation stay, the average charge was
4 about \$209, and the average cost of the drugs to the
5 hospital was an estimated \$43.

6 Anecdotally, some hospitals report they do not
7 charge beneficiaries for self-administered drugs. Other
8 hospitals indicate that self-administered drug charges are a
9 source of patient dissatisfaction, but they believe they are
10 required to charge beneficiaries for these drugs under laws
11 prohibiting beneficiary inducements.

12 One policy option that could be considered is to
13 permit hospitals to waive charges for self-administered
14 drugs for hospital outpatients receiving observation if the
15 hospital wishes to do so.

16 The OIG recently issued a proposed rule defining
17 some exceptions to the rules governing beneficiary
18 inducements. Although self-administered drugs were not
19 specifically mentioned in that proposed rule, the Commission
20 could consider commenting on the self-administered drug
21 issue in response to that rule.

22 So that concludes our presentation. In your

1 discussion, it would be helpful to get feedback on the
2 policy options we've discussed. We would also be glad to
3 answer any questions.

4 MR. HACKBARTH: Okay, thank you. This was really
5 well done, Stephanie and Kim and Zach.

6 Let me ask a clarifying question about Slide 8,
7 and I think this is for you, Kim. In fact, we talked some
8 about this yesterday.

9 So under either of these scenarios, there is still
10 a cliff and roughly the same magnitude between the bars on
11 the right side. So if one were to adopt the right-hand
12 model, the one-day stay DRG model, it seems to me that
13 implicit in moving in that direction is that somehow the
14 cliff between the one-day and two-plus-day payment is not as
15 much of a policy problem as the cliff in the left-hand
16 diagram between observation and inpatient, that somehow the
17 incentives are not as bad or somehow the monitoring of the
18 effect of those incentives on hospital behavior is easier in
19 the one-day stay DRG versus the current policy.

20 Could you just explain a little bit more about the
21 argument that the cliff on the right-hand side isn't as bad
22 as the cliff on the left-hand side?

1 MS. NEUMANN: So there are clearly tradeoffs. The
2 argument to be made in favor of the chart on the right-hand
3 side would be the argument that the line between and
4 outpatient observation stay and an inpatient admission is
5 very murky, even for clinicians on the ground, and if that
6 is murky, then shouldn't you be paying those things
7 similarly. And if you do, then you get that picture there
8 with those two bars, roughly, but then you do get this
9 bigger cliff between a one-day and a two-day stay.

10 And there, as you point out, there is a cliff that
11 you might be worried about as a vulnerability, and the
12 difference about that cliff is that if a provider were to
13 extend care to get the higher payment, that's clearly an
14 attempt to get higher payment by extending the services they
15 are providing, more of a clear abuse situation, compared to
16 inpatient versus observation, is that more just confusion
17 rather than trying to game the system. And so that would be
18 the argument to set it up like that.

19 The one-day and observation are more like similar
20 care, pay them similarly; one-day and two-day, different
21 care, different rates.

22 MR. HACKBARTH: Yeah. So I am not being

1 argumentative here. I am really just trying to understand.
2 So you pointed out that from a clinician standpoint, judging
3 whether a patient is appropriate for observation or
4 inpatient, it's gray. It's not clear to me that it isn't
5 just as gray, clinically, the difference between a one-day
6 and two-day inpatient stay. That's the part that I am not
7 quite getting.

8 MS. NEUMANN: I think from an auditor's
9 perspective, I think judging either one of those cliffs is
10 going to be difficult. I think if you think about it from
11 the perspective of the hospital's behavior, the divide
12 between inpatient and outpatient folks, say, is murky,
13 right, and they could have a hard time deciding which line
14 to go on.

15 A hospital that is trying to do the right thing
16 could get that decision wrong in the view of an auditor. A
17 hospital that is trying to do the right thing, lengthening a
18 stay for the purpose of getting higher payment is not quite
19 consistent with that.

20 MR. HACKBARTH: Okay.

21 MS. NEUMANN: So there is sort of a difference in
22 sort of what the motivations are at the different cliffs.

1 MR. HACKBARTH: Okay. So, as we go around, I
2 invite the clinicians among us to jump in on that
3 conversation, if you are so inclined.

4 Let me open up the Round 1 clarifying questions.
5 I think we started on this side last time, so we will start
6 over here with Dave and move down the row this way.

7 DR. NERENZ: Thank you.

8 My question is really about this point, although
9 if we can just flip back to Slide 6.

10 The size of the cliff depends on how you've
11 modeled the various DRG payments. I am curious when you use
12 the word "split" here on the bottom of Slide 6. How did you
13 do the splitting of the dollars in the current DRG when you
14 divided them into a one-day and then a two-or-more day?
15 What was the formula for that?

16 MS. NEUMANN: So we used the regular formula to
17 calculate DRG weights, and so we just took the cases that --
18 let's just take chest pain. We took the chest pain DRG, and
19 we took the one-day stays, and we put them into their own
20 group. And we took all the other stays, the longer stays,
21 and put them into their separate group.

22 And then the relative weight process calculates

1 the average cost of the cases in each of those two buckets,
2 and then the average cost, as compared to the average cost
3 of everything in all the other DRGs, and then the payment
4 rates are set relative to those differences.

5 DR. NERENZ: Where does that information come
6 from?

7 MS. NEUMANN: The average cost?

8 DR. NERENZ: Yeah. I mean, I know when the folks
9 at Yale developed this in the early '80s, they cite it with
10 stopwatches, and they looked at what it cost to take care of
11 a patient first day, second day, third day, that sort of
12 thing. Do you go back to that kind of source?

13 MS. NEUMANN: So the hospitals report on their
14 claims' charges at the revenue center level, so different
15 charge for ER, different charge for drugs, and so forth.

16 DR. NERENZ: Each day.

17 MS. NEUMANN: It's not even days. It's cost --
18 it's for the whole stay, there's charges on there, and then
19 we convert the charges to cost.

20 DR. SAMITT: Two quick questions. When you did
21 the analysis of the one-day stay, was that the only scenario
22 that you that you did a simulation for, or did you also

1 consider other options like a one- to two-day short stay?

2 MS. NEUMANN: At this point, we have only done the
3 one-day.

4 DR. SAMITT: Okay. And on Slide 13, did you
5 evaluate for the policy option that's been recommended
6 regarding the three-day threshold, what impact that policy
7 would have on either the 100,000 stays on the 11,000 stays?

8 So if that policy had been in place, how -- I
9 guess this would be for you, Zach -- how many fewer of these
10 would be affected?

11 MR. GAUMER: So we did not put a dollar amount on
12 this policy, leaving that for the folks at CBO.

13 You can kind of get a sense, though, in looking at
14 this number. So you've got 100,000 stays that were in the
15 hospital for three or more days. About half of those had an
16 inpatient stay boiled in there.

17 DR. SAMITT: Okay.

18 MR. GAUMER: So you are looking at about 50,000
19 claims -- 52, I think -- and if we made the assumption that
20 something like 20 percent went to a SNF ultimately -- and I
21 think the average is about 26 currently for all inpatient --
22 you arrive at a place close to -- actually close to about

1 11,000, 10- or 11,000 claims. So that's as far as we went.

2 DR. SAMITT: Thank you.

3 MR. HACKBARTH: Clarifying questions? Jay and
4 then Kathy.

5 DR. CROSSON: I just want to be complimentary, as
6 well. I thought that this paper and particularly these
7 slides have gone a long way to helping to collapse this very
8 complicated set of issues into some specific choices.

9 However, a lot of them have significant financial
10 implications, both in terms of increasing Medicare costs and
11 perhaps decreasing them, as well. And I just wondered if
12 you set aside the behavioral offsets you talked about, as we
13 go further along the line, to what extent will we be able to
14 have ball-park figures for some of these choices that we may
15 need to choose among in terms of the financial impact, or
16 are we going to be making these decisions more qualitatively
17 than quantitatively?

18 DR. MILLER: I suspect in the end, it will
19 probably be some mix of that.

20 What we tried to do is when you come down to
21 saying, "I want to develop a recommendation along this
22 line," we will develop a recommendation, and we will go

1 through, and we'll go through the specific language, which
2 you are all very familiar with. We will also engage CBO in
3 a range type of way, not a point estimate -- in a range type
4 of way as to whether they can give us a ball park.

5 Some of this stuff is very behaviorally driven,
6 and so their ability to kind of crank that out, I wouldn't
7 want to speak for them. The hope would be we'd be able to
8 give you some kind of range, but I suspect we will be
9 operating a bit, and some of it will be quantifiable, and
10 some if it will be the second thing you said, which I've
11 forgotten now.

12 DR. CROSSON: Qualitative.

13 DR. MILLER: "Qualitative," that was the word,
14 which was a better word than I was going to say.

15 MR. HACKBARTH: And just for Kathy and Warner, our
16 practice has been that when we make recommendations that
17 would increase Medicare expenditures, as judged by CBO, we
18 customarily include offsets for those, and we can and will
19 come back and talk more about that when we get closer to
20 that juncture. But that's the discipline that we apply.

21 Kathy?

22 MS. BUTO: My clarifying question goes to one of

1 the offsets on Slide 15. I just needed further explanation.
2 Extend the hospital post-acute care transfer policy to
3 hospice transfers? Is this the policy regarding transfers
4 that reduces the payment to the second hospital if there is
5 a discharge to another hospital, and are you trying to
6 extend that to hospice? That seems to be an apples and
7 oranges situation where hospice is a very different level of
8 care, but I would be interested to know what you meant.

9 MS. NEUMANN: So the post-acute care transfer
10 policy reduces payments to hospitals when they discharge
11 patient to post-acute care settings, like SNF, home health,
12 psych, LTCHs, more than one day below the mean length of
13 stay for the DRG. And the one setting that it doesn't apply
14 to currently is hospice, and so the OIG has done a study
15 where they have looked at this and recommended that hospice
16 be included as one of the other sites that this policy
17 applies to, and so that's what's intended here as an idea
18 for discussion.

19 MR. HACKBARTH: So, Kathy, it is similar in
20 concept to the hospital or hospital transfer policy, but --

21 MS. BUTO: I was thinking of some -- yeah.

22 MR. HACKBARTH: -- it's been more in recent years

1 extended to post-acute.

2 MS. BUTO: To post-acute. Thanks.

3 MR. HACKBARTH: Yeah.

4 Clarifying questions? Kate.

5 DR. BAICKER: So I think what Glenn's question was
6 getting at, going to Slide 8 about the cliffs and thinking
7 about how discretionary is the behavior that gets you at
8 each of the cliffs, and your answer, I interpreted it in
9 part to be calling it an "observation stay" versus calling
10 it an "inpatient stay." It's all kind of the same to the
11 patient, and the physician may, therefore, feel a lot more
12 uncertainty and discretion over that; whereas, having
13 somebody stay a whole extra day in the hospital is
14 observably different.

15 As your comment about the cliff points out, you
16 could then say, well, what about a two-day and a three-day
17 one and then everything? And then what about two, three,
18 and four days? And pretty soon the DRG system is gone. So
19 the whole DRG principle -- and there's a clarifying question
20 here -- is supposed to be about just paying for what the
21 patient should cost and then leaving the clinical staff to
22 figure out how to best take care of that patient, and

1 folding in the observation stay, that could just be part of
2 the whole package.

3 So to what extent could those cliffs that we see
4 be undone if they were allowed to differ more based on DRGs?
5 I am somewhat confused about the extent to which these
6 average costs mask very different-looking cliffs for the 10
7 DRGs that are in here, or if the steepness of the cliffs is,
8 by construction, the same for each of the DRGs? And if it's
9 the latter, if we think that there are some DRGs where you
10 really should probably mostly be in the hospital two days
11 and some DRGs where you really probably mostly don't need to
12 be in the hospital, can you do this in a revenue-neutral way
13 by changing the slope per DRG based on how much, what we
14 think the individual patient should experience, or is that
15 already baked in and I'm just confused?

16 MS. NEUMANN: So this chart is trying to summarize
17 the more detailed results that we have. Underneath this
18 chart is a DRG-by-DRG-level cliffs, and then just for
19 presentation, we presented them as an average. So, for each
20 DRG, the size of the cliffs will look a bit different, and
21 it will depend partly on how many one-day stays there are in
22 the DRG versus longer stays. And DRGs vary on that count.

1 So I think, if I'm getting the answer to your
2 question, it is kind of baked in. We wouldn't expect each
3 DRG to get paid exactly like this. Underneath it would be
4 DRG-specific rates with different cliffs.

5 DR. BAICKER: So then adding -- that makes sense
6 to me. Understanding where patients are located currently
7 along those bins would be helpful in knowing how big a
8 problem the cliffs are. Like it could be that in the two-
9 day big jump are a bunch of patients in -- and I know this
10 is restricted to DRGs, where there is substantial overlap,
11 so that's limiting the problem here, but it could be that
12 there are a bunch of patients with a usually long-stay DRG
13 populating the high bar and a bunch of patients with usually
14 short stays populating the low bar, and for any given
15 patient, there's not so much of a cliff in place, or at
16 least it could be constructed that way, in a revenue-neutral
17 way, I would think, so that patients are -- for any given
18 DRG ex-ante, there's not as much of an incentive to game
19 which category people are in. But maybe I probably just
20 need to think more about the specifics and how that goes
21 across to the other DRGs that aren't on the chart.

22 DR. MILLER: I take her question as can we look

1 underneath this and see how much risk there is for the
2 second -- I think the second cliff is what you are focused
3 on -- problem, looking at where the bodies are -- I mean the
4 patients are versus the --

5 [Laughter.]

6 DR. MILLER: Wow! That was a big mistake, a very
7 big mistake. I'm sorry.

8 Where the patients are and the size of the cliff,
9 that's what I'm hearing here.

10 MS. UCCELLO: So still on this slide, you
11 mentioned kind of in passing that you could actually make
12 the observation in the one-day the same, and then that would
13 increase the cliff of the two days, depending on how Kate's
14 question goes.

15 But my question there is, then if those were to be
16 made the same, would we go the extra step and say they're
17 all classified as inpatient? And if so, then that kind of
18 has ripple effects on many of these other questions we have.
19 Maybe this is more Round 2, but I'm just trying to
20 understand.

21 When you briefly mentioned that, were you
22 anticipating that these would kind of, in a sense, all be

1 classified then as inpatient, or would there still be a
2 distinction? They would just happen to be paid the same.

3 MS. NEUMANN: I think that's a philosophical
4 question that you could answer either way. I mean, there's
5 ways to structure it, so you just convert these to
6 inpatient, and there's ways to structure it where you're
7 doing some kind of capping approach between the two payment
8 systems, and so that would be a decision point.

9 MR. HACKBARTH: So, Cori, just walk me through the
10 links that you see to the other issues if we were to
11 characterize --

12 MS. UCCELLO: Well, the SNF --

13 MR. HACKBARTH: Well, if it's just a one-day
14 inpatient stay, it's not going to qualify for SNF coverage.

15 MS. UCCELLO: Oh, that's true. I guess maybe I'm
16 thinking it's more that you just eliminate the term, I mean,
17 the category of --

18 MR. HACKBARTH: Yeah, I follow that, but it's how
19 that would affect these other issues. You know, it would
20 affect the drugs issue. Then the hospital could provide the
21 drugs without any question.

22 DR. HOADLEY: It would affect cost sharing.

1 MR. HACKBARTH: It would affect cost sharing,
2 yeah.

3 MS. UCCELLO: And the RAC I think would --

4 DR. MILLER: But, I mean, how else --

5 MS. UCCELLO: I'm not sure that this is something
6 that I necessarily think is something we want to pursue.

7 MR. HACKBARTH: No, this is good.

8 MS. UCCELLO: I'm just trying to think through
9 these.

10 MR. HACKBARTH: This is good. Did you --

11 DR. MILLER: No, no.

12 MR. HACKBARTH: Okay.

13 DR. HOADLEY: I feel like this might have been
14 asked at the last session, but in the RAC review situations
15 when -- what happens to the beneficiary co-pay if the
16 original thing is rejected and/or if it's rebilled?

17 MR. GAUMER: The beneficiary is -- their portion
18 is left unchanged, so they're unaffected by any change.

19 DR. HOADLEY: So they don't get either a refund if
20 it would have been lower or pay extra if it would have been
21 higher in the alternative billing scenario.

22 MR. GAUMER: I think there was some conversation.

1 Kim, I'm thinking about in future policy setting that they
2 might require the hospital to give back money if the status
3 had changed. Am I -- why don't we check on that?

4 DR. HOADLEY: Okay.

5 MR. GAUMER: I think overall the beneficiary is
6 left unchanged.

7 DR. REDBERG: Thanks. That was a really excellent
8 chapter and I think really a complex issue because a lot of
9 different parts.

10 I have some clarifying questions and then some
11 clinical comments. Should I save the clinical comments for
12 Round 2 or go ahead now?

13 MR. HACKBARTH: Go ahead and do them now.

14 DR. REDBERG: Okay. I'll do the clarifying first
15 on the RAC. So I wanted to understand what percentage of
16 all the 3.75 billion -- this is from page 8 in the mailing
17 materials -- that the RACs identified in overpayments, what
18 percentage is related to this two-night -- to the short stay
19 issue?

20 MR. GAUMER: So it's varied in the last three
21 years. It's ticked up actually each year, but about 90-ish
22 percent of those dollars are attributable to short inpatient

1 stays -- excuse me, to inpatient stays, and a majority of
2 those are the short inpatient stays. And we don't have
3 exact dollar numbers, I do not believe, on the one-day
4 stays.

5 DR. REDBERG: So that in itself maybe is a little
6 curious to me, because certainly there are other things that
7 are also high cost that probably are -- and related to that,
8 then there's a number of other Medicare administrative
9 contractors and zone program integrity contractors, and it's
10 not really clear to me what they do and how they interact
11 with the RACs or how their role interacts with the role of
12 the RACs.

13 MR. GAUMER: Okay. I'll take a swipe at this one.
14 So there are several contractors out there that are
15 performing audit functions. The RACs are doing most of the
16 post-payment review, and that's, I think, why we're talking
17 about them. The MACs are involved doing review. It's
18 prepayment, and I should caveat that the RACs are doing a
19 little bit of prepayment review currently as part of a
20 demonstration project.

21 But in terms of the post-payment, the RACs are the
22 big show in town, and the CERTs and the ZPICs, those guys

1 are doing more monitoring of error rates and those types of
2 things.

3 DR. REDBERG: I'll just make a comment because
4 it's not really -- but it seems like there's potential to
5 improve the prepayment side of the Medicare contractors,
6 because it seems that right now they're incentivized to pay
7 claims quickly but not to be sure they're paying appropriate
8 claims, so they pay fraudulent claims perhaps as quickly as
9 they pay other claims, and there could be -- I think
10 prepayment review there is room for closer looks and less
11 things ending up as post-payment review.

12 But on the clinical side, I wanted to comment
13 because I am a cardiologist and a lot of these short stays
14 are chest pain admissions, as we've talked about. And I did
15 spend last week as the CCU attending for UCSF, you know, and
16 now I notice when I come in in the morning, because of the
17 work hours issue, the person, the resident who admits at
18 night is different than the one that I work with during the
19 day, and so they write the orders, and now I have to co-sign
20 their orders on the patient and say whether I think this is
21 an observation or an inpatient stay when I've really barely
22 met the patient.

1 So, you know, I think it's a very hard distinction
2 to make, and certainly, you know, if we talk about our site
3 neutrality, you know, having the same patient in the same
4 bed getting the same services but being paid differently,
5 whether I call that observation or inpatient, doesn't make a
6 lot of sense to me.

7 But, similarly, you know, if I know that now I've
8 said that this patient was inpatient and that means they
9 should stay here more than two days, there are a lot of
10 ways, you know, you can do things as an inpatient or an
11 outpatient, do stress testing, wait for more tests.

12 And then the last thing, and it's still a clinical
13 comment, you know, chest pain is a very big issue,
14 obviously, for this, and I have to say that I look at it and
15 think it gets murkier even earlier, before we kind of keep
16 them for observation, because, for example, a few years ago
17 there was a study called the ROMICAT study that looked at
18 could you get patients out quicker if you did CT or stress
19 testing in the emergency room. It was published in the New
20 England Journal. And I wrote an editorial that went with
21 that in the New England Journal and said the question isn't
22 which test you should do but should you be doing any test in

1 the emergency rooms, or should these patients just go home
2 because they've already shown they're low risk because they
3 had normal EKGs and no leak of cardiac enzymes. And if you
4 look at their rates of events, they're 0.1 percent. And
5 there was no difference no matter whether you did a lot of
6 tests or not. And I said I think we should just be sending
7 them home from the emergency room without all these tests,
8 and they can follow up as outpatients.

9 And I got a lot of very positive comments from a
10 lot of primary care doctors and other doctors that said they
11 thought we were admitting way too many chest pain patients
12 to either obs or inpatient, except for the emergency room
13 doctors who wrote and said that I didn't understand how hard
14 it was to be an emergency room doctor and be afraid of being
15 sued because chest pain is so difficult. That's my last
16 point.

17 So there was just an article now in the New
18 England Journal a few weeks ago from Daniel Waxman and a few
19 people at RAND, I believe, where they looked at the effect
20 of some state reform in malpractice -- I think it was Texas,
21 Georgia, or North Carolina -- where they tried to take away
22 the effect of this fear of malpractice that emergency room

1 doctors often cite in chest pain admissions, and they found
2 there was absolutely no change in behavior. They weren't
3 ordering any less testing. They weren't, you know, sending
4 more people home. And so, you know, this argument that we
5 have to order tests even though we know these people are
6 very low risk and can go home based on malpractice is
7 perhaps not, you know, really the case and that it's more
8 entrenched, and perhaps there are other financial incentives
9 to doing more testing as an outpatient -- as an observation
10 or inpatient. So I think that we could probably look even
11 further back in the process.

12 MR. HACKBARTH: Okay. That's the end of Round 1.

13 Let me see hands of people who have Round 2
14 comments to make. Craig, is your hand up? So seven, okay.
15 We'll use our process. Craig will lead off, and then we'll
16 see who wants to pursue that line of commentary next.

17 DR. SAMITT: I was thinking in a very different
18 direction until I heard Cori speak, and that really changed
19 my perspective on this. I actually was less intrigued about
20 creating a one-day-stay DRG and more interested in solving
21 the problems, the RAC changes, the SNF three-day policy.
22 But in some respects, the notion of eliminating observation

1 status altogether in many respects in the most elegant
2 solution of all. In essence, if we say we're going to pay
3 observation status and one-day stay equally, then for each
4 of these other downstream problems, the issue goes away, for
5 the RAC changes, including even the SNF three-day, because
6 in essence, if someone is just staying one day, whether it's
7 observation or inpatient, you're not achieving the three-day
8 regardless of whether it's observation or inpatient.

9 So that may have not been what Cori was alluding
10 to, but I actually think that that would be a solution that
11 potentially solves all of these issues altogether.

12 MR. HACKBARTH: So the idea has some appeal to me,
13 but I don't understand how it resolves a difference in
14 payment between now the observation/one-day inpatient stay
15 versus the bigger payment for the two-plus inpatient stay.
16 You've still got a cliff. You've still got a payment
17 incentive. And that's the underlying issue that drives all
18 this debate.

19 DR. SAMITT: Well, I think we'd want to hear more
20 about the degree to which the new cliff that gets created is
21 more onerous or more problematic either from a cost
22 perspective or from an audit perspective for the hospitals,

1 because we're not going to eliminate the RAC audits
2 altogether. In essence now, the RAC audits begin to focus
3 on two-day, not one-day, which should hopefully lessen the
4 burden at the hospital level. But it should resolve many of
5 the other issues, self-administered drugs, SNF, three-day,
6 and so on and so forth.

7 MR. HACKBARTH: Okay. So who wants to follow --

8 MS. BUTO: A question about his comment. When you
9 said it would resolve say the self-administered drugs, are
10 you, Craig, suggesting that the new combined thing would be
11 treated as an inpatient then?

12 DR. SAMITT: Yes. So what if we essentially said
13 there is no longer observation status --

14 MS. BUTO: Just inpatient.

15 DR. SAMITT: -- that there's inpatient only, but
16 inpatient one-day is paid in essence at the observation rate
17 going forward?

18 DR. CROSSON: So I think I'll start off with the
19 observation. I think it was H.L. Mencken, that for every
20 complex problem, there's a simple solution which is wrong.

21 MR. HACKBARTH: I think he was on the Commission
22 when I first joined.

1 [Laughter.]

2 DR. CROSSON: I mean, I kept looking through this,
3 like this has got to be simpler, must be something in here.
4 And I do have -- I do understand what Cori and Craig are
5 suggesting. But as I think some others have said, including
6 you, Glenn, I have a hard time understanding how it would
7 actually resolve the next cliff problem and that, in fact, I
8 think that -- I have a hard time, you know, as a former
9 clinician at least, thinking that the decision about
10 outpatient, observation, and one-day is more complex, then
11 once you've got this potentially risky patient in the
12 hospital making the determination about where they need to
13 observe the person or second day, it's the same sort of
14 qualitative judgment that needs to be made. So I have a
15 hard time with that direction.

16 I'm more interested in the notion that was
17 suggested, which is, you know, could we instead look at a
18 more focused policy on those hospitals that have a very high
19 ratio of short stays and impose some sort of penalty system
20 similar to the hospital readmission policy, costing that out
21 to determine whether or not and at what level that would
22 have to be set to make up for the second piece, which I

1 think from your perspective would be to get rid of the RAC
2 audits entirely as being cumbersome, as adding to hospital
3 costs, creating all the problems in terms of the hospitals
4 being able to rebill and all of that would disappear.

5 And then, you know, I have some other comments
6 about the SNF-related issues I could talk about later unless
7 you want me to do that now.

8 MR. HACKBARTH: Let's just think about this [off
9 microphone].

10 DR. CROSSON: Yeah.

11 MR. HACKBARTH: So does anybody want to pursue
12 this thread further before we open up? I have Alice and
13 then Dave.

14 DR. COOMBS: So in terms of the elimination of the
15 observation status, I'm just thinking about many of the
16 patients who are in the hospital who come in for day surgery
17 that, for whatever reason, they're done either late in the
18 day, they need to be observed for nausea, vomiting, or pain
19 control. It's not a very complex admission, and it doesn't
20 have the level of complexity of co-morbid conditions that,
21 you know, someone in congestive heart failure who needs to
22 be diuresed and paid attention to very closely.

1 So these patients are observation truly. They're
2 truly observation in the sense that you're just monitoring
3 them and making sure they're at some state. And it's not
4 major resource requirements in those patients. It's not
5 equivalent to a one-day hospitalization where you're like
6 all over them, I'm diuresing them, you know, you're doing a
7 bunch of interventions that may require more than the one
8 day. But it's clear that those patients have a lot more
9 resources.

10 If you get rid of the observation and you make the
11 patient who has a septoplasty, who's going to go home at
12 some point, the same as someone who is -- I mean, the level
13 of severity is another issue, but it has a different type of
14 resources requirement, I think that makes it very hard for
15 clinicians to kind of process, they're all the same now.
16 And then the other piece of it is the midnight-to-midnight
17 rule because a lot of the people who are having surgery in
18 the hospital would probably not qualify because they don't
19 cross those time frames in terms of admission, procedure,
20 and being able to get out. And they might elope into an
21 extended stay, 36 hours possibly. So what do you do with
22 those patients? Because they're certainly not a full

1 admission. After 24 hours, you know, some of them are
2 extended observations.

3 DR. BAICKER: But wouldn't they be different DRGs?
4 It's not that they'd be paid the same. It's that they
5 wouldn't be in a different bucket, but they'd still have
6 their own DRG; where if it's just observation after a non-
7 complex procedure, it wouldn't be paid very much. But I'm
8 still confused about what the import of calling it an
9 observation stay versus not is.

10 MR. HACKBARTH: I have a clarifying question on
11 observation days. Are all observation days paid equally?
12 Or is there a variation based on diagnosis, anyway analogous
13 to DRG inpatient? I thought it was just an observation day
14 is an observation day.

15 MR. GAUMER: That's right. If you cross eight
16 hours in observation and a couple of other criteria, you get
17 paid a flat rate. That's the way it is currently. In 2012,
18 when we were looking at it, there was a dichotomous thing
19 going on where, if you came through the ER, you were paid
20 one rate; and if you came through a clinic, you were paid
21 another rate. Those would be considered less severe cases.

22 MS. NEUMAN: There's one other nuance, and that is

1 that in order for observation to be payable, you can't have
2 had a surgical procedure on the day of or the day before.
3 And so what happens is if you get a surgery and they monitor
4 you, you know, afterwards for a normal period of time, you
5 just get paid the regular surgery rate, and that's built
6 into the surgery payment rate under the outpatient payment
7 system. So observation is mostly medical.

8 MR. HACKBARTH: Yeah. So if, in fact, we were to
9 go Cori's route of merging -- you're going to live with this
10 now, Cori.

11 [Laughter.]

12 MR. HACKBARTH: Merging the observation, we'll say
13 Craig's idea, merging the observation and the one-day
14 inpatient, there would be a redistributive implication to
15 that. If you said, well, we're going to move away from flat
16 payments for observation to some sort of variable diagnosis-
17 based payment for this new merged type of unit. And so that
18 would be just something to pay heed to. It would move money
19 around. There would be winners and losers.

20 So now moving on --

21 DR. COOMBS: I just want her to refer to Table 6
22 on the handout on the -- you did a delta between surgeries

1 and OP and one-day versus observation. What were you
2 referring to on -- it's page 14.

3 MS. NEUMAN: So on page 14, we have medical DRGs
4 where we're comparing the inpatient payment to the
5 observation payment for -- the total payment for observation
6 patients with those medical conditions. And then we have a
7 separate line which is surgical DRGs, and those are surgical
8 patients whether they got observation or not in the surgical
9 line.

10 MR. HACKBARTH: Okay. So we have Dave, who still
11 wants to pursue this merging of the two types, and then
12 Warner the same, and Jack. Okay.

13 DR. NERENZ: I think it is an interesting idea,
14 and when I was trying to think about this problem that runs
15 through a lot of this about just trying to distinguish this
16 murkiness or the gray area about what distinguishes a
17 patient in this category versus that category, and observing
18 that the amount here that you show for the one-day is
19 relative low, so there is this cliff between the middle bar
20 on the right and the green bar. And then I started
21 wondering, you know, back to the old development of the
22 DRGs, one of the things in my head is that the first day of

1 a long stay is typically an expensive day. It's when the
2 workups are done, the consults are done, the tests are done,
3 that sort of thing. But it's not uniquely expensive here,
4 which suggests that there's something different about what's
5 actually being done for these one-day stays. And there's
6 not a lot being done, which then just seems to argue that
7 maybe this is not such a bad thing because -- I mean, this
8 idea of combining.

9 So my question, finally, is: Are there ways that
10 these patients can be clinically distinguished sort of the
11 one-days in which it is effectively observation, whether you
12 call it that or not, and then the two-day or longer stays
13 where it seems to have become something else, there seems to
14 be more going on? You know, you said -- you know, we worry
15 about this cliff, the second cliff, because it's sort of
16 arbitrary. A hospital or a doctor can just extend the stay.
17 And I'm sort of questioning, is it really arbitrary or are
18 there actually meaningful differences there between the
19 patients?

20 You know, we worry about are there differences
21 between the blue and whatever we call that yellow color.
22 But I'm saying maybe there aren't. But maybe there's some

1 meaningful differences between the yellow color and the
2 green color, and that actually is clearer -- maybe. A
3 question.

4 MS. NEUMAN: We haven't looked at the difference
5 between the one-day stay inpatients and the longer
6 inpatients, but it sounds like you're interested in
7 something similar to what Stephanie did comparing
8 beneficiary characteristics but within the inpatient system.

9 DR. NERENZ: That's where it would go, yes.

10 MS. NEUMAN: Yeah.

11 DR. NERENZ: I'm sort of looking to Rita and other
12 clinicians for some validation of this because if it's just
13 nothing but green murkiness all the way through here then
14 the Mencken quote probably applies.

15 But I'm just wondering; where do we find some
16 clarity in the clinical characteristics of these patients
17 that might actually then lead us to meaningful groupings for
18 payment?

19 DR. REDBERG: I think that certainly there are
20 differences between those one-day and then the patients that
21 really do stay longer and why they're -- and the observation
22 patients that have the lower intensity work-ups.

1 But there are so many gray lines in this that I
2 think having that kind of payment cliff would really not
3 create very good incentives and just would, I think, create
4 more problems.

5 DR. MILLER: And that's the other thing I would
6 just encourage you guys to focus on because the other way to
7 think about it is we're trying to set payment in a way that
8 doesn't necessarily intervene with the clinical decision-
9 making; it sort of tries to reflect what could be the
10 clinical decision-making.

11 And then perhaps the other part of the
12 conversation is -- and then if you see something aberrant,
13 that's where you focus your auditing or your oversight.

14 And I've heard what Alice has said in some of our
15 conversations with hospitals, that a true observation stay
16 is a different intensity than a one-day stay and then
17 certainly if you go to two and three-day stays.

18 I don't what the facts are, but I mean, I have
19 heard Alice's point said more than once in our
20 conversations.

21 And so I get the mashing it together and having a
22 single rate and saying this is really all that different

1 from a payment point of view may be attractive, but I think
2 there are certain clinicians who do view these things as
3 separate events, murky as it is.

4 And so I would just stay focused on this, and the
5 clinician should definitely raise the points about, on the
6 ground, is the person really making distinctions between
7 observation one day, that type of thing.

8 MR. HACKBARTH: I'm going to let Bill jump in here
9 before Warner.

10 DR. HALL: Thank you.

11 I think I mentioned this in September, that we
12 tend to give our clinicians a lot of credit for being real
13 wise wizards and really gurus in this whole problem of
14 prognosticating on a lot of older people.

15 It just ain't true. We're not all that good.
16 Even the best of us are not that good.

17 So I think we should go kind of slowly here in
18 terms of real substantive recommendations and just a couple
19 of ideas along those lines.

20 First of all, I agree that what we usually call
21 observation patients are fairly easily identifiable.
22 There's a whole subset of these where you're pretty sure the

1 direction you want to go in, and therefore, you don't want
2 to expend additional resources. So having the opportunity
3 to not make a decision on admission is okay.

4 But I worry more about the one and the two-day
5 issues here, particularly as we start to see a much older
6 population.

7 I just defy anyone to be able to say with
8 reasonable accuracy that you can tell at the point where you
9 have to make the decision.

10 I think Rita raised this point; it's often before
11 you even see the patient where this is going to be an
12 admissible or not admissible patient.

13 So I think we should throw a number of other
14 factors into this.

15 For example, what are the implications of these
16 policies if we also throw in 30-day readmission penalties?
17 There's a lot of incremental evidence now that in our
18 passion to reduce the length of stay that we're actually
19 creating a lot more readmissions, particularly in the subset
20 of the older patients.

21 So I think we really need to try to understand
22 much more precisely; what are the clinical criteria we're

1 using?

2 Right now, the tail is wagging the dog.

3 Tell me, Doctor, is this an obs patient? Is this
4 a one-day admission?

5 And you have to make a decision at that point, but
6 I don't think we're really ready to say that we're really
7 that good at it.

8 So, as we go forward, I think we should look at
9 the implications of short stays, whether, in fact, that
10 actually increases both the costs of medical care when you
11 factor in readmissions, and just the general burden of
12 inconvenience to patients and the health care system
13 overall.

14 So I'm a little uncomfortable were we to make
15 really major decisions at this point on this issue. I think
16 we just don't know enough, clinically.

17 And maybe people will disagree with that.

18 MR. HACKBARTH: Just give me a second, Warren.
19 I'm trying to actually figure out how to frame the question,
20 and I'm struggling to do that.

21 So Bill has raised a dimension of this that we
22 really haven't focused on much, and that is the other end,

1 the readmissions.

2 And I've heard people say, well, observation
3 status is being used now increasingly as a way of avoiding
4 the readmission and the associated penalty that would come
5 with it or could come with it.

6 My vague is, in fact, we have looked a little bit
7 at that issue and whether there has been an increase there,
8 but I'm struggling to remember what we found.

9 MR. GAUMER: So this is the question of
10 observation and readmissions and the connection.

11 MR. HACKBARTH: Yeah.

12 MR. GAUMER: There has been some research on this
13 coming out of HHS in recent years, suggesting that there is
14 not a connection -- at least, there wasn't in 2013's data --
15 between readmissions and observation stays.

16 MR. HACKBARTH: Okay. Go ahead, Kathy.

17 MS. BUTO: What about one-day stays; any high rate
18 of readmissions related to those short stays or not?

19 MR. GAUMER: We haven't looked at that, and that
20 is something I think we can take a look at.

21 DR. HALL: And that's a key point. We shouldn't
22 lump observation and one-day in the same pile.

1 MR. HACKBARTH: Go ahead.

2 DR. BAICKER: So it's interesting to understand
3 that these patients are characterizable in some way,
4 obviously, not in every case but in some cases, which again,
5 to me, makes them sound like their own DRG in some sense.

6 A lot of these cliff problems are when we start to
7 define the payments again based on a specific unit of care
8 that's delivered instead of based on a bundle. And that's
9 unraveling the incentives that were supposed to be built
10 into the DRG.

11 And this false or very murky distinction between
12 an observation stay and an admission is, again, about how
13 you're labeling a specific unit of care, not about the
14 underlying need of the patient.

15 So can we think of that as its own DRG that is
16 reimbursed based on what the average needs of patients like
17 that are, not based on the length of stay?

18 And then at the end it's going to be too much for
19 some patients and too little for some patients, but on
20 average, it's right, and so the hospital is okay. And
21 that's how the whole system is meant to work.

22 Or, is there something special about these kinds

1 of patients where that's just not a viable mechanism?

2 MR. THOMAS: So, going back to the comments made
3 earlier about combining the payments and also thinking about
4 the issue of going slow on this, I mean, to me, what appears
5 to be clear is that we have payment cliffs today.

6 And we may be talking about changing and doing a
7 combining, but we have payment cliffs today.

8 And we have payment cliffs actually in the
9 greater-than-two-day lengths of stay because you look at
10 DRGs and you have patients that have complications or don't.
11 That's another payment cliff which we haven't talked about,
12 but that is another payment cliff in the DRG system.

13 The criteria between observation and one-day is
14 unclear. I mean, we hear it from physicians all the time.
15 It's an evolving situation with the patient, and it's hard
16 to make a determination immediately on just trying to take
17 care of the patient, whether an observation, whether they're
18 going to be there as an inpatient.

19 It's also clear that this a target of the RACs. I
20 mean, I don't know the exact numbers, but it seems like it's
21 a high percentage of the 94 percent of the claims that are
22 reviewed are in this arena.

1 So it does create a problem.

2 And we know a lot of those are reviewed after the
3 one year. So you, essentially, have an issue for the
4 providers here because they did provide the care. It may
5 have been an observation, but they can't turn around and get
6 paid for the care they provided.

7 You know, it appears to me -- I mean, the reason I
8 think the combination of the payment makes sense is because
9 the way I kind of think about this. My mental model is it
10 ends up being a lower acuity DRG just as you have a higher
11 acuity DRG for someone that has complications.

12 And I think we're going to continue to see length
13 of stay decline as we see improvements in technology, and I
14 think hospitals are working on improving the care in the
15 hospitals.

16 So I think we're going to see more folks going to
17 much shorter, lower acuity DRGs, and I think this type of
18 model can help address that.

19 If you look at -- you know, surgery that a few
20 years ago was a two or three-day length of stay could be
21 outpatient or could be observation today. Are we accounting
22 for that appropriately?

1 And then if you talk about the observation payment
2 that you actually brought up, Glenn, I mean, there's no
3 diagnoses associated with it.

4 So, regardless of how acute that patient is, it's
5 kind of a flat payment.

6 So, if you think about this more as a short stay
7 DRG or a lower acuity DRG across all of the DRGs, just like
8 we have DRGs that have complications, to me, that -- and
9 we're always going to have payment cliffs, whether it's two
10 or three.

11 I think it's better to have less payment cliffs
12 than more, but you're always going to have some payments
13 cliffs, and you're always going to have organizations that
14 try to push that and some that try to adhere to it
15 appropriately.

16 But I think the combination of the payments makes
17 a lot of sense because of all the issues we have today that
18 we're trying to deal with and the fact that I think this
19 problem is going to continue to be exacerbated as we see
20 shorter lengths of stays and more admissions of our seniors,
21 quite frankly, because we're seeing such an increase in
22 Medicare.

1 MR. HACKBARTH: If we were to, as Craig proposed,
2 do away with observation status and have the one-day DRGs,
3 I'm just trying to catalogue some of the implications in my
4 head.

5 It's not clear to me that that obviates the need
6 for RACs and all of that because you still now would have
7 this significant payment cliff; that's an open question.
8 We've heard some difference of opinion on that, but that
9 would be one thing that would still be on the table,
10 potentially.

11 If you go to a one-day DRG, eliminate observation,
12 I think that increases beneficiary cost-sharing. So that
13 would be a second implication of that.

14 It does obviate the question about the outpatient
15 drugs being covered.

16 What are the other issues here?

17 DR. CROSSON: It would not impact the SNF.

18 MR. HACKBARTH: It would not impact the SNF.

19 DR. SAMITT: Eliminates the liability with the
20 longer length observation for the beneficiary as well.
21 Wasn't that referenced in the chapter?

22 There were some higher costs/liabilities for some

1 beneficiaries?

2 MR. HACKBARTH: Out-of-pocket costs for patients
3 that really have long observation.

4 DR. SAMITT: That's right.

5 MR. HACKBARTH: You're saying that could end up
6 exceeding the inpatient deductible in the extreme case.

7 DR. SAMITT: That's right.

8 DR. MILLER: And then you, I think, have already
9 made this point earlier, not in this last recitation,
10 though. Depending on what we're talking about -- and I'm
11 kind of feeling like I'm hanging onto the back of a train
12 here -- if you're saying that -- well, no, this --

13 MR. HACKBARTH: Is the train going in the right
14 direction?

15 MS. BUTO: Slow-moving train.

16 DR. MILLER: I can't see around it. So I can't
17 tell.

18 This is what every meeting is like for me. So
19 it's nothing new here.

20 So, if you're talking about taking a block of
21 outpatient activity that's observation and now calling it --
22 you know, it's going to be inpatient, and there's going to

1 be this new set of DRGs. There's a set of mechanical
2 questions about recalibrating the systems and a set of
3 redistributational issues that you had mentioned earlier.

4 MR. HACKBARTH: Jack has been waiting patiently.
5 Is it on this same issue of merging?

6 DR. HOADLEY: It's on the cost-sharing issue.

7 MR. HACKBARTH: Okay. And then we'll get back to
8 -- Jack.

9 DR. HOADLEY: So, I mean, I was going to raise
10 exactly what you just said.

11 I was looking back at the table in the September
12 thing that you included in our handout, and the inpatient
13 cost-sharing is, in that table, \$1,156; the average
14 outpatient is \$282. So we're talking about a very
15 substantial difference.

16 There is some, of course, variation in the
17 outpatient cost-sharing depending on 20 percent of what.

18 But I think it's really -- I mean, I was going to
19 raise this relative to some of the other distinctions. We
20 kind of -- we did raise that at the last discussion, and it
21 kind of didn't come up in this room. And I think it's very
22 important that we think about what that means.

1 I mean, yes, there are supplemental insurance
2 issues that could go along with that, but if we go to this,
3 which is appealing, it is going to increase the cost-sharing
4 liability for people in most cases.

5 Yeah, there will be a few cases where the cost-
6 sharing in outpatient would have actually been higher, but
7 those, I think, are quite rare.

8 And so I think we really need to think about that,
9 and I don't know whether that means to make some further
10 adjustment to that, which gets us into a whole other
11 complicated policy area, which would be hard to get into.

12 Even if it's protected by supplemental insurance,
13 it's going to eventually feed into the cost of those
14 policies. So, I mean, it's not like you're completely
15 shielded from it even though you may be shielded at the
16 point of service.

17 MR. HACKBARTH: Of course, we also -- you know,
18 looked at from the MedPAC perspective, the other avenue
19 there is to benefit redesign where, in fact, we've suggested
20 doing away with this current structure of separate hospital
21 inpatient deductible, et cetera.

22 And so, obviously, that requires legislation. But

1 if that were to happen, that would be an opportunity to
2 rationalize this as well.

3 DR. SAMITT: Wouldn't the inpatient cost-sharing
4 go down?

5 That may be another clarifying question, but --

6 DR. HOADLEY: Because it's a flat one-day charge.

7 DR. SAMITT: Regardless. So even if you created
8 an in-patient one-day DRG, you still would maintain the same
9 degree of cost-sharing.

10 DR. HOADLEY: Unless you make -- I mean, that's
11 what I'm saying. If you want to then say the hospital cost-
12 sharing that's normally this \$1,156 for this special case of
13 a one-day stay would be lower, that's sort of like a bigger
14 deal in terms of thinking about -- anyway.

15 MR. HACKBARTH: It's conceptually doable.

16 DR. HOADLEY: Conceptually doable.

17 MR. HACKBARTH: And it would have cost
18 implications, et cetera.

19 Okay. So, on this side, we have Kathy, Jay, Mary,
20 Alice, Bill, Dave, and then we'll probably be getting close
21 to time.

22 Kathy.

1 MS. BUTO: Okay. So I'm having real difficulty
2 with this because I see, if we try to carve out a special
3 DRG or even special payment rate across observation status
4 and a one-day stay, the question coming up of other
5 instances within the DRG system where something changes and
6 there's a reduction in the length of stay that theoretically
7 reduces cost, leading a conclusion that more DRGs should be
8 gone into and other adjustments made, and then, quite
9 frankly, the question of then adding payments to DRGs where
10 maybe a new procedure has come in or a new technology has
11 come in.

12 I know there's a new tech DRG, but it's hardly
13 ever -- add-on, but it's hardly ever used.

14 So I think of this as the unraveling of the DRG
15 system, pure and simple.

16 And our getting into the individual DRGs causes me
17 pause. I mean, I think there could be a very good case made
18 for it, but what I haven't seen in the discussion so far is
19 some analysis of the recalibration system of the DRGs and
20 why that doesn't actually take into account reductions in
21 stays.

22 Maybe there aren't enough in each DRG to really

1 make an imprint? I don't know.

2 But I think it was Bill or Kate or somebody who
3 suggested that we think about the nature of the DRG system
4 and this issue of averages, where you're going to be
5 underpaid and overpaid over time.

6 If we just go after those areas where we think
7 there should be reduction in payment, then we ought to be --
8 I think we're really basically saying let's look at the DRG
9 system and let's begin to sort of take it apart,
10 selectively. And that really bothers me.

11 So let me just say that; I'd like to see more
12 analysis of why the recalibration system doesn't work to at
13 least address this problem.

14 And then this idea of targeting the RAC reviews to
15 those hospitals with high one-day stay rates kind of makes
16 sense.

17 The thing that I don't get about the RACs is
18 sounds to me as if they're not using medical necessity as
19 the judgment for where they take penalties or recommend
20 penalties be taken or changes in reimbursement. And that's
21 the underlying -- should be the underlying -- judgment
22 behind who goes into a hospital or not.

1 So I really am very troubled by this approach.
2 And I think it's pretty radical and we're maybe just seeing
3 a part of it.

4 And I would go back to Jack's point -- the
5 beneficiary. If you move this to an inpatient DRG at a
6 lower level, the inpatient deductible is going to end up
7 paying most of that shift. The observation stay, at least
8 in many cases, will moderate the cost.

9 So that's another troubling aspect of this.

10 MR. HACKBARTH: Let me go back to your first point
11 about recalibration, and I want to just make sure I
12 understand it and that everybody is grasping the potential
13 significance of this.

14 So you are pointing out that there is an
15 established process for adjusting the relative -- the
16 weights for the DRGs to reflect changing patterns of care,
17 and so to the extent that we see that within certain DRGs,
18 we have a lot more very short stays, that over time the
19 recalibration process means that the payment rate for those
20 DRGs automatically goes down, albeit with a couple-year lag.

21 And so there is this automatic mechanism already
22 in place, which might make us less concerned about changes

1 in patterns of care and shortening of the average length of
2 stay than we otherwise might be. That is your basic point.

3 MS. BUTO: Or at least help us understand what the
4 dimension of the problem is.

5 I'd point out that procedures like angioplasty
6 were overpaid for some period of time --

7 MR. HACKBARTH: Right.

8 MS. BUTO: -- until the DRG for bypass surgery
9 caught -- well, they were paid under bypass surgery until
10 they had their own DRG. So there are many, many examples
11 where you could go into the system and say, "Wait. This is
12 really overpaying. That is underpaying. We ought to adjust
13 that."

14 MR. HACKBARTH: So here is my question, Kathy.
15 Let's stipulate all of that about recalibration having a
16 good effect in the right direction. That is an averaging
17 process, and to some extent, what is motivating the RACs and
18 all that is that it's the unique behavior, disproportionate
19 behavior of individual institutions that might be deemed as
20 gaming, and it's not solved by an averaging system.

21 So what I hear you saying is you think
22 recalibration won't solve it, but you actually think that

1 maybe recalibration plus RAC, maybe better targeted, is the
2 way to go.

3 MS. BUTO: Right. If that's the case, in other
4 words, there are aberrant players in this game, then taking
5 sort of a system approach to solving it seems like we may be
6 taking too great a risk, given what we are opening ourselves
7 up to is all I am saying.

8 MR. HACKBARTH: I'm unraveling of the averaging
9 system for what is in fact a problem that is aberrant
10 behavior among a few. Okay, so that's a really helpful
11 framing of the issues.

12 Now, we had a bunch of people here. Is everybody
13 still wanting to talk about the idea of going to merged one-
14 day inpatient observation? Who wants to talk about that?
15 And let's get --

16 DR. COOMBS: [Speaking off microphone.]

17 [Laughter.]

18 MR. HACKBARTH: You're gaming me, I think. I
19 think we need a RAC review of -- Jay is confident he wants
20 to talk about that.

21 DR. CROSSON: Well --

22 DR. NAYLOR: We're on a cliff. We're on a cliff.

1 DR. CROSSON: What I'm confident about is that I
2 am at risk of making the same point twice, which is a faux
3 pas, so I am going to try to say it differently.

4 I have some concerns similar to Kathy, perhaps a
5 little bit difference here. To go back to the problem, what
6 problem are we trying to solve? The problem we're trying to
7 solve, I think, at least this problem, this part of the
8 problem, is that we think that Medicare is overpaying
9 because Medicare is paying for patients in the hospital who
10 could be managed at a lower payment level in observation
11 status.

12 So the question is, really, what is the range of
13 mechanisms that we could be applying to help, if not totally
14 solve that problem, at least turn it back in the other
15 direction?

16 My concern is that we focused too narrowly on this
17 set of -- this issue of changing the nature of the cliff or
18 changing what we call things, which may, as Kathy suggests,
19 create in fact more complexity, may unravel some aspects of
20 the payment system we already have, and may just simply
21 create new incentives, which could have as yet unappreciated
22 increase in Medicare cost.

1 So I just hope as we go through this that we don't
2 deal so narrowly with this question, because I think that --
3 I hope that we can explore, and this is going to get into
4 all the issues of what does that cost versus save and
5 whatever, but that there may in fact be better ways to go
6 about this that are not as complicated and not as
7 potentially fraught with unintended consequences, although
8 everything is.

9 We know that we can influence hospital and medical
10 staff decisions through other mechanisms, creating
11 penalties, focusing the RAC audits, if we want to keep the
12 RAC infrastructure in place, but particularly, I am
13 attracted by the notion of a relatively straightforward and
14 simple incentive focused on those hospitals that appear to
15 be overusing or abusing the use of short stays, and that we
16 could create a Medicare add-on penalty. We'd have to cost
17 all that out. And it could be substantial enough and simple
18 enough to obviate at least part of the use of RAC audits,
19 which hospitals find some difficult to deal with.

20 So I am asking for us to have a broader discussion
21 whether or not this is the right solution or not. I don't
22 happen to think it is. I'm not sure I know the right one,

1 but I think there are some other things that we could look
2 at.

3 MR. HACKBARTH: Mary.

4 DR. NAYLOR: Actually, I want to build on that
5 same line of thinking. First, just exquisite chapter,
6 because it lays out the complexity of this issue and where I
7 think we probably won't want to go, which is to a -- what is
8 it? -- two-midnight rule.

9 But, anyway, I think we have -- in the history of
10 observation, why was it established? It was established
11 because, as Bill said, we know as clinicians we can identify
12 people who need some level of support or observation for a
13 period of time to determine next steps, and what's happened
14 then as a result of changes in policy, it has become used
15 for other means, and we need to get on top of that.

16 One opportunity we might have is to say aligning
17 with some of our interest in site-neutral policy is to think
18 about site-neutral observation, which would say hospitals
19 aren't the only place where observation can take place. In
20 fact, there are many evidence-based approaches that are
21 enabling people to move from the emergency room back to
22 their home for observations around heart failure,

1 cellulitis, a whole host of common DRGs, and so on.

2 So separating this out -- and this is really
3 reinforced in your work, which suggests that people that
4 come in for one-day stays, inpatient stays, are different
5 than those that are in the observation.

6 So it seems to me that thinking about the
7 observation status and opportunities to make that site-
8 neutral helps us to reinforce the data, which is it is a
9 different set of people, and we should be giving many more
10 options for their observation.

11 I think the cost-sharing issues for beneficiaries
12 is extraordinarily important, and I would be very leery
13 about doing anything that would change their cost burden
14 when we know it's not clinically appropriate or needed.

15 DR. COOMBS: I agree with Mary, and the cost
16 sharing from observation is far less than the cost sharing
17 that would occur with switching to the one-day.

18 And as I was thinking about it, if you were to
19 have some kind of model where you had Hospital X has 25 or
20 30 percent one-day hospitalizations and then, as Craig has
21 proposed, you drop the rate down to the observation rate,
22 which you guys have put in the chapter as 1600, you are

1 losing 30 percent. The hospital itself would lose 30
2 percent of the revenue on those patients right off the bat.
3 Does that sound good?

4 And so the next piece of that is that how does
5 that jibe with the remainder in terms of decision-making for
6 the hospital. That two-day looks very attractive. It's
7 beginning to look very attractive. So I'm just saying that
8 the cliff creates incentives that are not just -- we're not
9 just talking about beneficiaries, but also the providers in
10 that category.

11 So if you try to merge the observation and say
12 aren't they essentially the same as the one-day, I think
13 there are a lot of differences that I think everyone has
14 kind of discussed already.

15 And I wanted to sneak in this, and I really would
16 like to stress that I think to implement the transfer rule
17 for hospice would be not a good idea, and I would like for
18 us not to include that because of the fact that it is a big
19 amount of energy that is poured into the whole process of
20 hospice, and to have the two-day rule, the three-day rule
21 impact the patient's ability to get to hospice and having to
22 be financially responsible for that I think is a wrong

1 direction.

2 MR. HACKBARTH: Rest assured that we'll come back.
3 Getting to offsets, it seems like a long way right now, but
4 we would talk much more extensively about those options.

5 Bill Gradison.

6 MR. GRADISON: This may sound hopelessly naïve,
7 but the screen that I am trying to think through has to do
8 with a sense of, in my mind, unfairness to ding the
9 hospitals for the exercise of clinical judgment by the
10 physicians, and the hospitals don't decide this stuff, or
11 maybe they do. Maybe I am missing something here, but I'd
12 like to hear a lot more discussion about how these decisions
13 are actually made.

14 Granted, if there are outliers -- this is picking
15 up, I think on Jay's point -- if there are outliers, maybe
16 that is the thing to focus on, but to have these policies
17 reviewed for all hospitals when it is actually not the
18 hospital or institution that is making the decision is
19 something I have a lot of trouble with.

20 MR. HACKBARTH: Bill, we crossed that bridge in
21 1983. Most of the stuff in a hospital is either decided by
22 or at least strongly influenced by the physicians who may or

1 may not be employed by the hospital.

2 DR. CROSSON: The hospitals don't readmit the
3 patients, either.

4 MR. HACKBARTH: Right.

5 Like I said, we crossed that bridge 30 years ago,
6 I think.

7 Dave.

8 [No response.]

9 MR. HACKBARTH: Okay. So we have got actually 15
10 minutes still left here that Kathy wants to --

11 MS. BUTO: Glenn, I have neglected in my fervor
12 over the unraveling of the DRG system to mention that I
13 really liked the recommendations on the rebilling symmetry.
14 There are a number of things that clearly are almost like in
15 the form of injustice between the RAC and the hospital. The
16 fact that there is no penalty for a high overturn rate on
17 the part of the RAC, that struck me as -- again, so they're
18 out there, they're bounty hunters without penalty. All they
19 have to do is return the money if it turns out they're
20 wrong.

21 And then the two other points I'd just ask you to
22 consider is that on self-administered drugs, potentially

1 just having them included in the outpatient payment -- the
2 payment to the outpatient department as opposed to their
3 having to come up with a separate structure to coordinate
4 with Part D or -- you know, there were some other things
5 that you mentioned there.

6 I think that was it. Oh, one other thing was I
7 think one of your offsets -- this is again minor -- had to
8 do with allowing observation days to be counted toward the
9 three-day stay, and you said potentially at least one of the
10 days would have to be in the hospital. Why not two? If it
11 were two, that would reduce the cost. I mean, you could do
12 either one, but, anyway, a minor point.

13 MR. HACKBARTH: So just sort of label the topic
14 that you want to bring up.

15 DR. HOADLEY: It is on self-administered drug, I
16 would make a similar point to what Kathy did.

17 MR. HACKBARTH: Okay. Why don't you go ahead,
18 Jack.

19 DR. HOADLEY: The policy option that is proposed
20 here is to permit hospitals to waive charges. It seems like
21 if you just required them to incorporate the charges, that
22 the dollar figures that we're talking about here would have

1 an infinitesimal effect on the outpatient perspective
2 payment rate, and it would fix all those problems. If you
3 permit them to waive it, that's better than nothing, but it
4 would still leave people, would leave hospitals with a
5 strange decision. It would leave some people unaffected,
6 and it just seems like easy to take that next step.

7 MR. HACKBARTH: Rita, did you have your hand up?
8 Just sort of label the topic for me first.

9 DR. REDBERG: It was also on self-administered
10 drugs, but I'm happy enough with what Jack said.

11 MR. HACKBARTH: Go ahead.

12 DR. REDBERG: Just that the idea of the current
13 system where you are charged a lot of money to take the drug
14 you brought with you, it's not really clear to me why we
15 don't let patients take their own medicines, and sometimes
16 it's a problem because their medicines aren't what we have
17 on formulary, and then it's difficult, because they don't
18 want to take -- you know, they believe, for whatever reason,
19 what we have --

20 MR. HACKBARTH: When I asked about it last time,
21 the releasing, the hospital people said it's a question of
22 liability.

1 DR. REDBERG: That's what I'm always told, but I
2 don't understand the liability.

3 MR. HACKBARTH: Yeah. Well, that one probably is
4 beyond our purview.

5 DR. REDBERG: That particular pill that I looked
6 at.

7 The other, I just had a comment, which was --
8 because I found this whole sort of redoing of the one-day
9 inpatient and outpatient very complicated and then the
10 payment cliff. The goal is I think that we are trying to
11 make sure we are taking better care of our patients, so I'm
12 just not sure this does it at all. I think really you start
13 thinking that a system where hospitals and doctors get an
14 amount of money to take care of patients and then they
15 decide what is the best thing is really going to lead to
16 better patient outcomes, because then all the incentives are
17 to improve patient care and not to figure out whether it's a
18 one-day or a two-day or an observation. And so that's in
19 the bigger picture where I think we need to be going if we
20 really want to spend our money on things that are good for
21 patients.

22 MR. HACKBARTH: So if I could, we're down to our

1 last ten minutes -- go ahead.

2 DR. CHRISTIANSON: I just had a comment or a
3 question for Jay, I guess. I was intrigued with your notion
4 of something like a readmission penalty modeled after that,
5 you know, to sort of focus on hospitals that seem to have a
6 lot of one-day stays. But then you sort of followed it up
7 with a comment that said, "And maybe if you did this, it
8 would mitigate a lot of the problems with RACs."

9 So just the question for you is: Do you see this
10 as a replacement? Or do you think that we should be trying
11 to address right now some of the problems, I think the
12 fairness problems that Kathy talked about or some of the
13 problems with RACs right now and working on your suggestion
14 kind of as we go further?

15 DR. CROSSON: You know, I think to a large extent,
16 that's a financial question. In other words, easy for me to
17 say, but we have to sort of model what this penalty would
18 look like, what amount of money, how many hospitals it would
19 be applied to, how it would change over time, what could be
20 saved for the Medicare program by doing that, versus what's
21 being saved in the RAC audit process. And we could end up
22 in different endpoints. We could say, gee, you know, if we

1 really did this, just based on the pressure it would apply
2 to the hospitals that are abusing it, there would be a
3 significant amount of savings, and it would eclipse the
4 savings from the RAC audit process, in which case we could
5 dispense with that. Or you could end up with a policy that
6 says, no, the RACs, if applied in a targeted way, could
7 further augment the incentives created by the penalty. And
8 I could imagine any combination of those, but in the end, it
9 seems to me that there's a financial element to this that
10 would be deterministic, because if the numbers were in one
11 direction, it would strongly push you in that direction; but
12 if it's way under -- if this proposal or idea was only a
13 rounding error on the amount of money saved by the RAC
14 process, then it wouldn't be viable.

15 DR. CHRISTIANSON: Yeah. So my question really
16 was kind of like is it an either/or, or in the meantime do
17 you think that we should be focusing on -- if we can reach
18 some agreement on maybe some of the things that we think are
19 kind of egregious about the RAC process, that we should be
20 making recommendations about that as well?

21 DR. CROSSON: Sure. I mean, it depends on, you
22 know, how long this is going to go on, but yeah, I mean,

1 it's just similar to the process we have often, which is,
2 you know, should we try to fix the fee-for-service payment
3 process while we're waiting for the evolution of a different
4 payment process, and the answer generally is yes. If we
5 were to decide three months from now that there's enough --
6 or four months or whenever, that there's enough to be gained
7 through this penalty process, learning perhaps by the
8 dynamics of what's been created in the readmission payment
9 penalty process or some other way, and it really did obviate
10 the need for RAC audits, then we might just stop fussing
11 with that.

12 MR. HACKBARTH: So if I could, what I'd like to do
13 is for our last ten minutes give people an opportunity to
14 focus on the SNF dimension of this, which we really haven't
15 talked very much about.

16 I think I said at the last public meeting that the
17 three-day requirement for SNF seems archaic to me. That was
18 instituted in 1965 when the average length of stay, I don't
19 know, was 13 or 14 days, or something like that. We're in a
20 very different world today and have a very different policy
21 focus where we're trying to not just reduce the average
22 length of inpatient stays but also more consistently move

1 patients from higher-cost, more intensive facilities to
2 lower-cost ones. And the 40-, almost 50-year-old three-day
3 rule seems archaic and inconsistent with that movement.

4 The rub, of course, is money, and as I've thought
5 about this between the last meeting and this one, as much as
6 I might like to eliminate the three-day rule, you know,
7 there are some potential bad ramifications from that, one
8 being that it creates this dynamic or reinforces this
9 dynamic where patients that are in nursing homes not on
10 Medicare benefits can convert patients to much higher paying
11 Medicare patients, and that's really, I think, a significant
12 potential problem with eliminating the three-day rule.

13 So what the staff have tried to do in today's
14 presentation is say, well, maybe there's a middle ground,
15 and, Kim, refer me to the right page -- I think it's 13, 14,
16 thereabouts. And so I'd like people to react to this idea
17 of counting observation days towards SNF eligibility
18 provided that there is at least one inpatient day. Any
19 comments on that specifically?

20 DR. SAMITT: I like it. The one-day-stay issues
21 aside, I think the sub-elements, the recommendations for
22 RAC, three-day stay, the self-administered drugs, all in

1 some respects make a lot of sense, including this one.

2 I think as we start to talk about elimination of
3 the three-day-stay rule, it starts to become a bit too
4 onerous and disruptive and costly. But at a minimum, this
5 addresses the problem that has been identified by
6 beneficiaries associated with observation.

7 MR. HACKBARTH: Now, I do need to flag for you
8 all, we don't know how CBO would estimate the cost of this
9 and what the price tag would be. And so that would have to
10 be something that we take into account later. It wouldn't
11 be free relative to current law, but how un-free it might
12 be, I don't know.

13 Other reactions on this?

14 MR. ARMSTRONG: Yeah, just echoing Craig's
15 comment, actually building on a comment Rita was making
16 earlier, you know, we live in a world where we don't deal
17 with these financial issues; it's all prepaid. And the
18 decisions are purely made on what's the most cost-effective
19 -- or actually effective, clinically effective way in which
20 referrals would be made to these different locations.

21 It just seems to me that -- and, by the way, this
22 three-day requirement is -- it's irrelevant to us, and we

1 often will admit patients directly to skilled nursing
2 facilities from emergency rooms. And, you know, if you
3 looked at our patterns, you would say that this standard
4 really has very little bearing on what the clinical
5 practice, uninhibited by these financial constraints, should
6 look like.

7 I would be interested -- I have no idea the answer
8 to this, but I would be interested, you expressed concern
9 about the increased spend to the Medicare program of
10 eliminating the three-day requirement. It seems to me there
11 could be a real savings as well by putting the patient in a
12 more cost-effective facility as opposed to keeping them in
13 the hospital for a certain number of days before they
14 trigger eligibility. And I don't even know how you would
15 evaluate, but at least inherently to me it's just not purely
16 a cost to the program issue. There could be a real balance
17 to that cost.

18 MR. HACKBARTH: Two quick comments on that.

19 One, I see your point. Ultimately it doesn't
20 matter what you and I think about what the cost implications
21 would be. It really matters what CBO thinks. So that's one
22 point.

1 The other thing I'd just remind folks of is that
2 CMS has begun to waive the three-day rule in contexts like
3 the Pioneer ACOs where the providers are bearing some
4 financial risk because the incentives they face are more
5 like the incentives that you folks face. And so I just
6 wanted to put that on the table. Okay.

7 DR. HALL: So I think a basic principle of
8 geriatrics is that hospitals are dangerous places for all
9 Medicare recipients, but they're particularly dangerous for
10 people who are on the more frail side, i.e., the ones that
11 are trying to get into a nursing home. So from a clinical
12 standpoint, I think it's very compelling to do something to
13 get rid of this three-day rule, because that's the time when
14 we can not only occasionally do good but we can do some real
15 harm to people. They're much better served in the SNF or
16 the long-term care environment. We should take that into
17 account.

18 DR. HOADLEY: It seems like, I mean, one of the
19 ways to think about this is to separate the issue of the
20 specific problem related to the observation days, which is
21 what the policy option here kind of addresses, versus some
22 of these broader things that we're bringing up, because, I

1 mean, it strikes me that, first of all, just to understand
2 the broader implications, to Scott's point, I mean, if
3 there's potential for some savings, it's got to interact in
4 a complicated way with how PPS payments -- because Medicare
5 doesn't say just because somebody stays a little less, given
6 that it's a DRG payment; on the other hand, over time the
7 DRG payments could evolve to capture that savings, so it's a
8 little more -- or you could legislate in a way that sort of
9 takes some of those savings. But I think if we're thinking
10 of it at that level, we should lay that out and get some
11 analysis that helps to understand that.

12 If we want to at least solve -- solve -- if we
13 want to at least help on the problem that's specifically
14 related to observation stays, then it seems like the policy
15 recommendation here, if it's something we want to bundle
16 with whatever else we end up doing, makes a lot of sense,
17 unless we do something that makes this moot, which is a
18 better way to solve it.

19 MR. HACKBARTH: Any other comments on SNF?

20 MS. BUTO: Just a question, I guess. Taking the
21 option that the staff recommended or suggested be considered
22 where at least one day would be inpatient, would that in any

1 way exacerbate the one-day-stay issue, do we think, if we
2 went to that format? I just raise that as a question.

3 DR. CROSSON: Yeah, I had a similar point, and to
4 think about this from the perspective of the physician who
5 feels that his or her patient needs to be in a SNF, right
6 now he would have to hospitalize that patient for three days
7 in order to get what you needed to get done. In this
8 setting, you would only have to do it for one day, right?

9 So the question is -- I mean, it's probably
10 impossible to answer from a perspective of what behaviors
11 would be incented by changing the policy in this direction.
12 Would it, in fact, encourage physicians, as you just said,
13 to put the patient in for one day, therefore one-day
14 admissions would go up? Or would it have the reverse
15 effect, which is to decrease the physician hospitalizing the
16 patient for three days in order to get them into the SNF? I
17 don't know if that's knowable.

18 MR. HACKBARTH: Yeah, and as Jack says, you know,
19 it depends in part on what the other pieces of the package
20 are.

21 Any final comments on SNF? If not, I think we are
22 -- yeah, we are out of time. Thank you, Stephanie and Zach

1 and Kim. Really good work on this.

2 We'll now have our public comment period before we
3 break for lunch, and before you begin, could I see -- if you
4 want to make a comment, could you please line up at the
5 microphone so I have an idea of how many people we've got
6 wanting to speak?

7 Okay. It looks like just one, so before you
8 begin, let me just restate the ground rules. So begin by
9 telling us your name and organization. You will have two
10 minutes. When the red light comes back on, that signifies
11 the end of the two minutes. And as always, I remind people
12 this is not your only and certainly not your best
13 opportunity to contribute to the Commission's work. Do that
14 first and foremost by contacting the staff, or you can send
15 Commissioners letters, which the staff will help you get to
16 the right place. We do read our mail. Or you can post
17 comments on our website.

18 MS. TOMAR: I'm Barbara Tomar. I'm with the
19 College of Emergency Physicians. I just wanted to make a
20 few quick comments about observation.

21 First, I think it might be easier for the
22 confusion that has arisen over the last several years about

1 observation when patients are up on really a medical floor
2 for three or four days versus observation in critical
3 decision units adjacent to the emergency department. About
4 40 percent of hospitals do have those units. It's usually
5 the larger hospitals. And the literature is showing that
6 the length of stay for most of those patients is about 14
7 hours, and over 70 percent of them are treated and released.
8 So it's a good use of resources, we think.

9 But the other thing I was wondering is why is the
10 allowable length of stay in observation gone up so high. I
11 mean, Medicare used to use 48 hours. Maybe it should be 24
12 hours as opposed to some of these more complex solutions
13 that you've been wrestling with today.

14 One of the other things I would just observe is
15 that because the RACs have concentrated so heavily on short
16 stays, it's sort of provided the incentives for a hospital
17 to move people to observation up on the floors, and CMS
18 allows hospitals to change the patient's status from
19 inpatient to outpatient during the course of the stay, which
20 further complicates the confusion and upset for
21 beneficiaries.

22 One other point I just wanted to mention, I would

1 love to see MedPAC staff do some research into how Medicare
2 Advantage and the Pioneers are using direct admits to SNF
3 under what kind of clinical criteria. I think that would be
4 really helpful to understand this, too.

5 Thank you.

6 MR. HACKBARTH: Okay. We will adjourn for lunch
7 and reconvene at 1:30.

8 [Whereupon, at 12:35 p.m., the meeting was
9 recessed, to reconvene at 1:30 p.m. this same day.]

10

11

12

13

14

15

16

17

18

19

20

21

22

1 to assume more risk.

2 In 1997, the Balanced Budget Act authorized
3 several new contracting options for Medicare health plans,
4 including the provider-sponsored organization, or PSO,
5 option. Initially, a PSO could receive a federal waiver of
6 the requirement to comply with state laws governing plan
7 solvency by meeting federal solvency standards. However,
8 such waivers were not available after 2002.

9 The BBA provision did result in there being a
10 state law option referred to as a "PSO state-licensed plan,"
11 as they were called in Medicare contracting reports.
12 Although initial predictions were that there would be
13 hundreds of PSOs, there ended up being very few, with the
14 last state-licensed PSO ending its contract at the end of
15 2012. However, a number of provider-based organizations do
16 have Medicare Advantage plans and are able to meet state
17 licensing requirements as HMOs and PPOs.

18 Glenn, you asked a question about the rules that
19 MA plans must follow in terminating providers. Press
20 reports from the end of last year and the beginning of this
21 year have called attention to the issue of the network make-
22 up of MA plans and when plans can terminate providers. The

1 rules, incorporated in regulations, are that a provider can
2 be terminated with a 60-day notice, and affected
3 beneficiaries should receive a 30-day notice of such
4 terminations.

5 What was controversial at the end of last year was
6 that beneficiaries had not been made aware of provider
7 terminations until after the annual election period, which
8 would have been their opportunity to change to another MA
9 plan or to go to fee-for-service Medicare.

10 In response to comments that CMS solicited about
11 possible changes to rules on provider terminations, CMS
12 announced a change in policy whereby a plan must notify CMS
13 90 days in advance of a major change in providers due to
14 terminations.

15 CMS also instituted a policy whereby beneficiaries
16 could be given a special election period to choose another
17 plan or fee-for-service Medicare. This would apply to
18 beneficiaries affected by a major change in networks when
19 the change is occurring outside the October-to-December
20 annual election period cycle. The new special election
21 period rules would allow an affected beneficiary to leave a
22 plan in the middle of a year.

1 The rule changes that CMS instituted were less
2 than what beneficiary advocacy groups had asked for in their
3 comments, and CMS did leave open the possibility of making
4 additional changes in the future.

5 In any case, an MA must always continue to meet
6 network adequacy requirements, and CMS is in the process of
7 developing an audit tool to ensure ongoing compliance with
8 network adequacy requirements. We will continue to monitor
9 this issue.

10 What I am going to talk about next is an analysis
11 that we have not done in the past, which is an examination
12 of the margins of MA plans based on historical data that
13 plans submit to CMS. When we have examined margins in the
14 past, the results were based on prospective information in
15 the bids for the following year.

16 We are examining margins as part of our role of
17 surveying the landscape of the Medicare Advantage program,
18 which we will continue at the December meeting. Looking at
19 plan margins gives us a better understanding of the MA
20 sector, what the trends are for the sector, and what
21 differences exist among different types of MA plans or in
22 different geographic areas.

1 One thing to note is that, as of 2014, MA plans
2 are subject to a medical loss ratio, or MLR requirement,
3 whereby at least 85 percent of revenue must be used for the
4 provision of medical benefits.

5 There are specific rules for determining the
6 allocation of expenditures between administrative costs and
7 medical costs for MLR purposes. As pointed out in the
8 mailing material, the rules can be different from the way in
9 which costs are allocated in the bid data. The MLR
10 requirement can also have an effect on plan margins in that
11 the 85 percent MLR requirement can impose bounds on an
12 organization's margins to the extent that more of the plan's
13 revenue has to be used to provide benefits.

14 In 2012, based on the historical data that plans
15 submitted to CMS with their 2014 bids, the revenue-weighted
16 average margin across all MA plans was 4.9 percent for Part
17 C; that is, for Medicare benefits and extra benefits plans
18 were required to provide, excluding drugs under Part D.

19 Administrative costs averaged 8.8 percent, and
20 benefit costs therefore averaged a little over 86 percent of
21 revenue.

22 Very few companies reported negative margins, but

1 we did see a lot of variation in margins by plan type or
2 other plan characteristics. We will be reporting on the
3 Part D margins of Medicare Advantage prescription drug plans
4 at a later date.

5 This graph shows the distribution of margins by
6 company within MA on a revenue-weighted basis. The negative
7 or zero margin group with the red bars comprised 8 percent
8 of all MA revenue in the data that we examined. As noted in
9 the mailing material, our data included about 90 percent of
10 the MA enrollment in 2012.

11 In the last three bars, you see that the majority
12 of MA revenue went to companies that had margins at or above
13 5 percent. The year 2012 was the first year of the phase-in
14 of the MA payment changes made in the Patient Protection and
15 Affordable Care Act of 2010, and as of 2014, MA plans have
16 been subject to a premium tax. So we may see changes to
17 this distribution in years after 2012.

18 This graph shows margins on a company-wide basis.
19 As explained in your mailing material, one company can have
20 a number of MA plans and a number of types of MA plans.
21 Each plan can have a different margin level, including a
22 combination, for one company, of plans with negative

1 margins, along with plans that have positive margins. The
2 company-level margins give you a sense of the overall
3 financial position of companies participating in MA, but in
4 order to look at differences by plan type and by other plan
5 characteristics, as we do in the next few slides, the
6 analysis has to be done at the plan level.

7 In looking at differences in margins by plan type
8 or other plan characteristics, we found that HMOs had higher
9 margins than other plan types, with a difference of a couple
10 of percentage points between HMOs and local PPOs, for
11 example.

12 For-profit plans had higher margins than not-for-
13 profit plans. The pre-tax margins of for-profit plans were
14 over 4 percentage points higher than for non-profit plans,
15 and between employer-group plans and non-group plans, there
16 was a difference in margins of almost 3 percentage points.

17 We looked at a subset of plans that we could
18 identify as older versus newer plans and found that older
19 plans had higher margins.

20 In the case of special needs plans, such plans had
21 margins that were twice the level of non-special-needs
22 plans. On average, though, not-for-profit special needs

1 plans had negative margins. Almost all the not-for-profit
2 special needs plans were plans for beneficiaries dually
3 eligible for Medicare and Medicaid, or D-SNPs.

4 We also looked at some geographic and demographic
5 characteristics. We found that plans operating in areas
6 with high per capita fee-for-service Medicare expenditures
7 had higher margins. More interestingly, we also found
8 differences based on the type of Medicare-Medicaid dually
9 eligible population that was dominant in a given plan.

10 There are two types of dually eligible
11 beneficiaries. Those who have partial Medicaid eligibility
12 are entitled to have the Medicaid program pay their Medicare
13 Part B premium and, for some, Medicare cost sharing. The
14 full dual category includes beneficiaries who have the same
15 benefits as partial duals but also have full Medicaid
16 coverage of additional services, such as long-term care not
17 covered by Medicare.

18 In looking at plan margins, plans with a majority
19 of beneficiaries with partial dual status had higher margins
20 than plans with a majority of enrollment consisting of
21 beneficiaries with full dual status.

22 In terms of their relative expenditures in fee-

1 for-service Medicare, the full dual group has higher average
2 Medicare expenses than the partial dual group, leading the
3 Commission in past work to suggest that the MA risk
4 adjustment system should be modified. Instead of the
5 current situation of having only one single-risk adjustment
6 factor for dual status, there should be one factor for the
7 full dual category, who have higher average fee-for-service
8 expenditures and a different factor for the partial dual
9 group, who have lower average fee-for-service expenditures.
10 Making such a change will improve payment accuracy for both
11 of these types of plans.

12 The next two plan characteristics that we will
13 talk about are related to each other. Our analysis showed
14 higher margins among plans with higher average risk scores
15 and higher margins among plans with a greater share of
16 beneficiaries with the diagnosed condition that is a payment
17 factor under the MA risk adjustment system, based on
18 hierarchical condition categories, or HCCs.

19 This result may seem counterintuitive but could be
20 explained in different ways. The difference in margins may
21 indicate that, compared to fee-for-service Medicare, MA
22 plans are more efficient at treating sicker patients, and

1 that the cost advantage over fee-for-service becomes greater
2 the sicker a person is or becomes. However, coding
3 practices may also be a factor here.

4 The MA risk adjustment system uses Medicare's fee-
5 for-service population to determine the relative risk
6 factors for different diagnosed conditions based on
7 diagnostic data and expenditures in fee-for-service. The
8 risk score of a beneficiary in MA is based on diagnostic
9 data coming from fee-for-service for the preceding year, if
10 the person was in fee-for-service, or from diagnostic data
11 for the prior year submitted by the beneficiary's MA plan.

12 In recognition of coding differences between MA
13 and fee-for-service, the Medicare statute requires a coding
14 adjustment to reduce the risk scores of enrollees in all MA
15 plans because coding is more intensive in MA than in fee-
16 for-service. A recent article by Kronick and Welch cited in
17 your mailing material notes that some MA plans code more
18 intensively than other plans, which suggests that a possible
19 reason for the differences we see in margins based on
20 relative risk scores and diagnostic data, as shown on this
21 slide, may reflect differences in coding practices across
22 plans.

1 In December, we will come to you with our annual
2 MA update to give you a broader picture of the MA landscape.
3 I look forward to any questions you have and your discussion
4 of any additional analyses you would like to see on today's
5 topics, and also remember that if you have no questions at
6 all, that's fine.

7 [Laughter.]

8 MR. HACKBARTH: Can we put our heads on our desk?

9 MR. ZARABOZO: Yes, please put your head on your
10 desk. It's nap time again.

11 MR. HACKBARTH: Okay, thank you. Thank you,
12 Carlos.

13 Could you put up Slide 6 for a second.

14 I was a bit surprised at the 8.8 percent
15 administrative cost on average for MA plans. That is the
16 sort of number that I think of customarily for large
17 employer-group plans, maybe a little bit on the high side
18 for that setting, but still, it's lower than I expected for
19 what is an individually marketed product.

20 So I am just curious to learn a little bit more
21 about it. In particular, an angle that occurred to me is
22 that although this is an individually marketed product, it

1 is in a particular setting where you have basically an
2 exchange type of mechanism, and CMS is sort of organizing
3 the market to some degree.

4 Then I went from that to, well, gees, I wonder if
5 we had an organized market for supplemental coverage where
6 the administrative loading factors are really high, so that
7 beneficiaries who want traditional Medicare could get
8 supplemental coverage at a much lower cost than in the
9 disorganized market that exists there.

10 So that's sort of the series of thoughts I went
11 through. Reactions?

12 MR. ZARABOZO: Well, one thing to note here is
13 that this is across all plan types, which includes the
14 employer-group plans, which have a lower administrative
15 cost, because they do not market to individual
16 beneficiaries. An employer-group plan comprises about 18
17 percent of the total MA enrollment.

18 MR. HACKBARTH: And what is the number for the
19 employer-group plans?

20 MR. ZARABOZO: The number is lower. It's --

21 [Pause.]

22 MR. HACKBARTH: If you can't find it quickly, you

1 can just give it to me later.

2 DR. MILLER: Just put your head down on --

3 MR. ZARABOZO: Yeah, I'm making it up as I go
4 along.

5 [Laughter.]

6 MR. ZARABOZO: 6.3 percent for employer-group
7 plans.

8 MR. HACKBARTH: Somewhat lower.

9 MR. ZARABOZO: Yeah.

10 DR. MILLER: The other thing I was going to ask,
11 it's on the same thing. Might there be some definitional
12 issues between -- okay. The actuary is nodding.

13 MR. ZARABOZO: Yes. There can be definitional
14 issues, and another point that Cori raised, which I will
15 respond to, because she's not going to ask the question
16 because she's shy --

17 [Laughter.]

18 DR. MILLER: I'm trying to figure out whether we
19 should put Carlos up here in the future. This is getting
20 like real personal.

21 [Laughter.]

22 MR. ZARABOZO: Yeah. The question, the

1 distributional question is pointed out in the mailing
2 material. I cited an article by Jamie Robinson which said
3 the plan structure in different types of plans, you may have
4 a lot of administration being done at the medical group
5 level and so on, so that appears as medical cost for some
6 types of plans. And those types of plans have large
7 enrollments in the MA program.

8 The other point is this again is a percentage of
9 revenue, expressed as a percentage of revenue. So in areas
10 where -- the GAO did a similar study based on 2011 data, and
11 so areas of high benchmarks, you as a percent of the revenue
12 -- this is a lower percent. The administration is a lower
13 percent, and so in lower benchmark areas, the administration
14 is a higher percent, because to some extent, these are fixed
15 costs or they are sort of per-unit cost; that is, the cost
16 of paying a broker to enroll somebody is uniform.

17 MS. UCCELLO: Let me just, though, build on that,
18 just to clarify. The definitions used here are the MLR
19 definitions of the denominator anywhere or not.

20 MR. ZARABOZO: No. The definition -- what this
21 shows is for bidding purposes, what has been stated by the
22 plans as administrate -- we wanted to be clear about that.

1 MS. UCCELLO: Okay. So that is different than the
2 MLR --

3 MR. ZARABOZO: Right, that is different.

4 And, for example, the MLR, you include quality-
5 related activities, and it is also after taxes, is another
6 difference. Yeah.

7 MR. HACKBARTH: Okay. Clarifying questions? I
8 think we start over on this side this time. Jack?

9 DR. HOADLEY: So a couple of things, and this is
10 really very useful information.

11 On the network adequacy, I noticed in the text of
12 the write-up, you said CMS will determine these sort of
13 definitions of what constitutes a major change for both
14 purposes of notice and special enrollment periods. I assume
15 special enrollment periods are a fact of this year, or is it
16 next year? And do we have any information on what --

17 MR. ZARABOZO: I think it's 2015. Yeah, next
18 year, 2015.

19 DR. HOADLEY: Next year.

20 And do we have any information on sort of how they
21 are going about that definition, or have spoken to that yet?

22 MR. ZARABOZO: Well, I haven't talked to CMS about

1 that particular point. I gather that it's sort of like you
2 plan and we agree, yes, this is a major change, and that is
3 why you are notifying us, this is a major change, the 90-day
4 requirement.

5 DR. HOADLEY: So case-by-case?

6 MR. ZARABOZO: Yeah, case-by-case.

7 And then CMS says, "Well, yeah, and this will --
8 we think this is a major effect on beneficiaries, and in
9 particular, these beneficiaries will be entitled to a
10 special election period."

11 DR. HOADLEY: I mean, that seems like something
12 worth sort of watching as it proceeds.

13 MR. ZARABOZO: Yeah.

14 DR. HOADLEY: My other question is on the bid
15 information, and it's really -- you know, some of your
16 analysis you did at the company level and some at the plan
17 level for all the reasons you explain, and my question is,
18 to what extent is a company able to cross-subsidize across
19 different plans or move its fixed cost around, you know, the
20 same way we have conversations about hospitals in different
21 functions? And I can't remember if CMS has any rules
22 relative to bids.

1 MR. ZARABOZO: They have tolerances for where the
2 margins should be and whether you can have a negative
3 margin, at what point and so on. So that there are supposed
4 to be -- for example, the D and C margins are supposed to be
5 relatively close. At the company level or even at the
6 contract level, I'm not sure how that works, a plan under
7 the same contract number, plans across companies, how the
8 tolerance is applied in those situations.

9 DR. HOADLEY: And therefore, the implications for
10 some of the differences that you see on plans with more low-
11 income beneficiaries or whatever, to the extent that they
12 want to show it or they want to keep a premium down, how
13 much ability they have to move things around. It seems like
14 that's not a problem, obviously, when you are looking
15 company to company, but it could become an issue looking at
16 some of the other kinds of characteristics.

17 MR. ZARABOZO: Yeah.

18 DR. REDBERG: So I was just wondering, on page 24
19 in the mailing materials, under network adequacy standards,
20 you refer to the minimum provider to enrollment ratios. Can
21 you give us an idea of what those are, and are they the same
22 all over, or do they vary?

1 MR. ZARABOZO: They vary by type of county. That
2 goes on to the next section to explain that urban -- for
3 example, large urban county and rural county, there's a
4 different standard in terms of time and number of providers,
5 time to reach the providers, distance of providers.

6 DR. REDBERG: I did see that, but I still wasn't -
7 - like what number of provider to what number enrollment,
8 for example?

9 MR. ZARABOZO: Well, they have a list at CMS of
10 here is this type of provider or you have to have this
11 number within this kind of geographic area, so I mentioned
12 the 23 categories. I think it was we.

13 DR. REDBERG: 33?

14 MR. ZARABOZO: 33 practitioner specialty codes.
15 That's where they say you need X number of these. Given
16 this type of county that we're talking about, you need X
17 number of this specialty to meet the network adequacy
18 requirement, based on what we expect your enrollment to be.

19 DR. REDBERG: Okay.

20 MR. ZARABOZO: Yeah, same distance and time
21 requirement.

22 DR. REDBERG: Thanks.

1 DR. SAMITT: My questions are about the PSO. I'm
2 curious about the intent on the creation of the PSO option
3 in 1997, why it was created, as well as whether the notion
4 behind the Pioneer ACO, whether that is -- the intent is to
5 evolve the Pioneer ACO into a PSO-like solution for delivery
6 systems that are interested in alternative or capitated
7 payment for their entire Medicare population. So can you
8 elaborate a little bit on --

9 MR. ZARABOZO: On the first part, it was because -
10 - and Kathy was around for this particular episode in
11 history. Providers wanted to be able to contract directly
12 with Medicare, avoid the middleman, as they put it in the
13 paper. And they felt that they could not meet the solvency
14 requirements and thought, well, we don't need to because we
15 can be responsible for the provision of care. It's not like
16 we're contracting with an organization that's going to run
17 out of money and not pay us. We will agree to not let
18 ourselves be paid if we run into a problem, that kind of
19 thing.

20 But because of the direction of health care in
21 America, on the private side it was all going to managed
22 care; on the Medicare side, the BBA was intending to make

1 more managed care options, capitated options, including the
2 private fee-for-service option. The providers wanted to be
3 there to be able to contract directly with Medicare.

4 DR. SAMITT: Thank you.

5 MR. ZARABOZO: And the second question, I would
6 have to defer to the ACO people. I'm not sure that --

7 DR. STENSLAND: There is a difference [off
8 microphone].

9 MR. HACKBARTH: What Jeff said was the enrollment
10 aspect of Medicare Advantage, including provider-sponsored
11 plans versus ACO, which is assignment.

12 MR. GRADISON: I'm trying to understand better the
13 issue of network adequacy both in the exchanges under the
14 ACA and more particularly in the subject before us. And the
15 reason I am a little uncertain about this is that I could
16 imagine that there would be some providers that would sign
17 up, but that having signed up and participating in -- or
18 being listed as being available, a couple of things could
19 happen that might make them less available than it looks on
20 paper. One would be their panel is full. Another might be
21 that as a matter of policy and running their own practices,
22 they'll only accept a certain percentage of patients from a

1 particular payer.

2 How do these rules deal with those situations?

3 Which I don't bring up with the thought that they're

4 unusual. I would think they'd be fairly normal, that you

5 phone up -- for example, there's a great shortage apparently

6 of psychiatrists. You get one that's listed, but you call

7 them and they might see you in six months, if you're lucky.

8 You know, that sort of thing.

9 MR. ZARABOZO: Well, the CMS rule is that you have

10 to have a contract with the provider in question. What I

11 have not asked CMS is this particular issue: What if the

12 provider is saying, "I will only take X number of your

13 members," or "I'm currently not open"? I'd have to talk to

14 CMS about whether that is a factor when they evaluate the

15 network adequacy of a particular plan. So capacity of the

16 provider --

17 MR. GRADISON: I'm not just asking about some

18 practice that wants to limit its proportion of patients that

19 are covered by a particular payer, but also a situation

20 where somebody says, "My panel's full. That's all I can

21 handle." But they're still listed, but they're not really

22 available for more people. So perhaps you might look into

1 that, if you don't mind, and for the future.

2 Thank you.

3 MR. ZARABOZO: Yes.

4 DR. CROSSON: Carlos, on the PSO issue, which I
5 want to come back to in the second part of the discussion,
6 the waiver authority that was put into the law and then
7 expired, presumably that gave permission to this PSO world
8 to contract differently under Medicare. Was that also the
9 waiver authority that superseded state solvency
10 requirements? Are those two the same, or are they
11 different?

12 MR. ZARABOZO: Yes, the waiver is specifically a
13 waiver of the state solvency requirement, and so -- but it
14 also -- there was a requirement that said you have to go to
15 the state first, and if you're being delayed in your
16 application, if you're being denied for the following
17 reasons, then, yes, we will consider you for a federal
18 waiver of the solvency requirement, and you can operate as
19 an MA plan.

20 DR. CROSSON: So just to clarify, when we use the
21 term "waiver," that was a waiver of state solvency
22 requirements or --

1 MR. ZARABOZO: A waiver of the federal --

2 DR. CROSSON: -- a waiver of allowing a different
3 contracting mechanism with Medicare?

4 MR. ZARABOZO: No, it was only a waiver of the
5 federal requirement that said you have to be licensed as a
6 risk-bearing entity under state law. So this says we will
7 waive that particular federal provision which says you must
8 meet state law and say, no, you don't have to meet state
9 law. You can meet federal law on this particular issue,
10 just the issue of solvency.

11 MS. BUTO: And just to follow up on that, Carlos,
12 explain what -- so let's say a state -- I mean, a PSO is
13 successful in getting a grant of a waiver that was time-
14 limited, according to your paper, I think, to three years.
15 What was waived? And what liability did the -- or financial
16 responsibility did the federal government take over? Was it
17 reinsurance? Or how did it counteract allowing a plan not
18 to meet state solvency requirements?

19 MR. ZARABOZO: Well, it had to meet the federal
20 solvency requirements that you negotiated in the negotiated
21 rulemaking.

22 MS. BUTO: Thanks.

1 [Laughter.]

2 PARTICIPANT: They worked very well.

3 MS. BUTO: They worked so well we didn't get any
4 PSOs, as I recall.

5 MR. ZARABOZO: But what it did --

6 MS. BUTO: But, really, I'm asking you to remind
7 me. Were they a lot less onerous? Or were they -- because
8 I thought they were pretty darn onerous when they were laid
9 out.

10 MR. ZARABOZO: There's not -- you don't have to
11 meet any solvency requirement. It was, yes, you meet a
12 solvency requirement, here's what it is, you have to post a
13 bond, we have to determine your net assets and so on.
14 There's a few examples in the paper about, well, when we
15 determine net assets, we will count your health service
16 delivery assets, we will not count, in terms of reducing the
17 net assets that you have, subordinated debt from your
18 providers to the extent it's withhold. So those kinds of
19 things made it easier, but not, you know, totally simple to
20 the --

21 MS. BUTO: No, I appreciate that, and, again, the
22 reason that a couple of us asked about this option was I

1 believe it's still on the books. The waiver authority is
2 gone at this point, right?

3 MR. ZARABOZO: From looking at some state laws, it
4 appears to be still on the books that you could be a PSO,
5 quote, state licensed, and just for the Medicare product,
6 yeah. On the state books.

7 DR. MILLER: The state has to make --

8 MR. ZARABOZO: I'm sorry. On the state books
9 where they say, "And here are the requirements to be one of
10 these things," which match the federal --

11 MS. BUTO: Well the federal piece was the waiver,
12 and that expired. So in order for the waiver to be
13 reactivated, you'd have to, you know, get another law
14 passed, I think, right?

15 MR. ZARABOZO: Right. But what happened is that
16 many of the states that appeared adopted sort of like word
17 for word. The federal government says here's the solvency
18 standard for this kind of entity.

19 MS. BUTO: Right.

20 MR. ZARABOZO: We'll take that and say, yeah, you
21 can be a PSO state licensed in our state because we have
22 adopted what the federal government says is an appropriate

1 solvency standard just for you, not for the other people
2 that we're licensing here in the state.

3 MS. BUTO: Right, right.

4 MR. HACKBARTH: But what proportion of states?

5 MR. ZARABOZO: Well, I only looked at a few states
6 -- I looked at the states where there were -- you know,
7 Florida and Texas and New Mexico -- New Mexico I think was
8 the federal -- so I was just looking at a few of them to see
9 if they were still on the books. I don't know how many
10 states actually did incorporate that provision.

11 DR. CROSSON: Can I just paraphrase maybe I
12 thought what Kathy was asking? Because it was sort of
13 similar to what I was asking. So the provision, the
14 existence of Medicare Advantage PSO, it still exists as a
15 potential alternate contracting mechanism, but it can't be
16 employed because the waiver authority which would be
17 necessary is gone. Is that right?

18 MR. ZARABOZO: No.

19 DR. MILLER: I would say one thing differently,
20 and you tell me -- the door you have to walk through to get
21 it is through the state.

22 DR. CROSSON: At the moment [off microphone].

1 DR. MILLER: At the moment. And so when you said
2 "on the books," I just wanted to clarify that the federal
3 door has closed, because the waiver has gone away. You can
4 walk into this setting and be paid as an MA plan, but I
5 think you've got to walk through the state door.

6 MS. BUTO: The only technical question I would ask
7 you to -- and you may know the answer already -- is whether
8 the requirements that were negotiated are still there, even
9 though they can't be activated because you don't have a
10 waiver. Have they disappeared as well?

11 MR. ZARABOZO: Well, no. See, this is what Mark
12 is saying, which is those requirements were incorporated
13 into state law --

14 MS. BUTO: Oh, okay.

15 MR. ZARABOZO: -- and said you can be a PSO state
16 licensed. There's no Federal waiver, but, look, you can
17 meet the federal solvency requirements under state law.

18 MS. BUTO: Which gave certain flexibilities for
19 provider-based managed care plans.

20 MR. ZARABOZO: Right.

21 MR. HACKBARTH: But if I understand it correctly,
22 the federal door to this could be opened with a legislative

1 change as simple as changing the expiration date of the
2 waiver authority.

3 MR. ZARABOZO: Yes.

4 DR. CROSSON: That's what I was asking [off
5 microphone].

6 MR. ZARABOZO: I hate to give the simple answer,
7 but yes.

8 DR. MILLER: You have no idea what this is like
9 [off microphone].

10 [Laughter.]

11 MR. ARMSTRONG: And I don't want to belabor that
12 whole insolvency issue, and perhaps it's a Round 2 point,
13 but I think there are a whole host of other issues with
14 respect to creating level playing fields and other
15 requirements and taxes and so forth that I think we want to
16 be really clear about as we think about the policy
17 implications.

18 The question I had was, Carlos -- and you
19 acknowledged this is margin information out of the year
20 2012. And I'm just thinking, wow, that was a long time ago,
21 and a lot has happened since then. I just wonder if you
22 have any insight into or when you might know more about,

1 like, 2013 at the very least, given some pretty significant
2 structural changes.

3 MR. ZARABOZO: I think in December we can tell you
4 more about more recent years.

5 MR. HACKBARTH: Other clarifying questions?

6 MR. THOMAS: I just had an item that was brought
7 up earlier on the difference between the reference in the
8 document of the benefit percentage and the MLR. Can you
9 tell me more about that and what that means?

10 MR. ZARABOZO: In the bid that the plans submit to
11 CMS, they state themselves here is what we apply towards
12 benefits, here's what is administration, and here's our
13 margin. The MLR rules are quite specific as to what can be
14 counted as administration benefits. For example, as Cori
15 brought up, the benefits include quality-related activity.
16 That can be classified as a benefit, and it's also -- taxes
17 are removed in terms of determining the revenue. So what
18 percent of revenue is towards benefits, that's an after-tax
19 number.

20 MR. THOMAS: And do we ever look at or have we
21 looked at the percentage that's indicated as benefits in the
22 bid versus what is actually expended on benefits?

1 MR. ZARABOZO: This is what the GAO did. They
2 looked at the 2011 information. We're looking at 2012 here.
3 They looked at 2011, and they compared what did you project
4 versus what actually happened. And they found that overall
5 across plans, other than employer group plans and special
6 needs plans, the projections pretty much matched the actual.
7 Not so for employer group plans and special needs plans.
8 Special needs plans were more profitable than projected;
9 employer group plans were also more profitable than
10 projected.

11 MR. THOMAS: It just seems like, at least
12 recently, there's more dollars that are being considered,
13 you know, in the medical costs that are non-medically
14 oriented, not necessarily going towards benefits. I didn't
15 know if in any of your research you came across that type of
16 information.

17 MR. ZARABOZO: Well, definitely the quality-
18 related activities, again, are specifically classified as
19 benefits, not administrative. So that -- I mean, you could
20 argue that point, well, maybe they're really administrative,
21 not actually direct benefits.

22 MR. THOMAS: And does that appear to be growing as

1 a percentage of the total expenditure? Do you see any
2 movement there?

3 MR. ZARABOZO: We haven't looked at that
4 specifically. I know that the plans were being asked to
5 report that. I think in the -- whether this rid of bids or
6 they've reported a couple of years to separate those
7 categories. But we haven't looked at that. And I think
8 it's -- once the MLR information is in -- which will not be
9 until the end of 2015. It starts in 2014, but we will not
10 have the data available until probably after 2015. We can
11 look at, you know, what is the distribution of these kinds
12 of dollars in the overall revenue scheme.

13 MR. THOMAS: TO me, it may be interesting to just
14 understand how much of those dollars at the end of the day
15 are really in "quality activities" and what does that mean
16 and it's really going to benefits for beneficiaries.

17 MR. HACKBARTH: Okay. Any other Round 1
18 clarifying questions?

19 MS. UCCELLO: So in Table 3 in the text, in the
20 mailing document, you break out benefits and admin and the
21 margin percentages. And I think when I was reading it, I
22 thought that those were actual, but now are you telling me

1 that that's just from the bid itself?

2 MR. ZARABOZO: No. These are from the 2014 bids
3 in which the plans state the 2012 actual.

4 MS. UCCELLO: Oh, okay. Thank you.

5 MR. HACKBARTH: Others?

6 [No response.]

7 MR. HACKBARTH: Let me ask Carlos about what CMS
8 is doing on changes in the network. If I understood you
9 correctly, you said that if there's a significant change in
10 the network, CMS is creating a special enrollment
11 opportunity for beneficiaries to switch plans, and that
12 could either go to another MA plan or back to traditional
13 Medicare.

14 Now, if you choose to go back to traditional
15 Medicare, an important consideration is whether you'll have
16 access to supplemental coverage. And if it's a beneficiary
17 who has significant health issues, the ease with which they
18 can go back to supplemental coverage, get supplemental
19 coverage, I think is, shall we say, variable.

20 Could you just sort of walk through that for me?

21 MR. ZARABOZO: I think the way the statute reads
22 is if there is a special auction period, then you have the

1 Medigap right.

2 MR. HACKBARTH: You do? Okay.

3 MR. ZARABOZO: But I need to confirm that for
4 sure. I think they may even have specifically said that in
5 these circumstances, yes, it is one of those kinds of
6 special election periods that you have a guaranteed-issue
7 Medigap option available to you. But I can verify that.

8 MR. HACKBARTH: Okay. Then that sort of leads me
9 to another question. So as I understand the roles, if when
10 you first become eligible for Medicare, you have a
11 guaranteed-issue right to supplemental coverage. However,
12 if your initial election is to enroll in a Medicare
13 Advantage plan and then you decide, well, it's not for me, I
14 want to go back to traditional Medicare, you no longer have
15 the guaranteed issue --

16 MR. ZARABOZO: Actually, if your initial election
17 was Medicare Advantage, I believe the rule is, yes, you do
18 have a special election --

19 MR. HACKBARTH: So both ways? In other words, it
20 doesn't matter if you initially enroll in traditional
21 Medicare or --

22 MR. ZARABOZO: Only, I think, if you went -- the

1 first option that you -- I'm going to hesitate a minute
2 here. If you went directly into MA, then you change your
3 mind, you do have, even after a year, I think it is, a --

4 MR. HACKBARTH: Okay.

5 MR. ZARABOZO: -- special election period where
6 you can say I have guarantee issue of Medicare.

7 MR. HACKBARTH: Okay.

8 MR. ZARABOZO: What I am not sure about is whether
9 if you go into fee-for-service -- let's say you turn 65 and
10 you go into fee-for-service for three months and then you go
11 to MA, it might be the case that in that period also your
12 first MA election also gets you the special election period.
13 In other words, the case that you pointed out, fee-for-
14 service, MA first time -- MA first time, I didn't like it, I
15 want to go back, I get an SEP. So I'll have to check on it
16 to make sure that that's also the case.

17 MR. HACKBARTH: Yeah. There was some -- in fact,
18 we had an e-mail exchange about this. There was something I
19 read that made me think -- and perhaps it was just an
20 incorrect statement in the article -- that, in fact, if your
21 initial enrollment was into MA, then you weren't guaranteed
22 -- you didn't have guaranteed issue.

1 MR. ZARABOZO: No, that's --

2 MR. HACKBARTH: That's not the case.

3 Okay. Round 2 comments?

4 DR. CHRISTIANSON: I'm a little leery about making
5 comments given how quick Carlos is today. I think I can
6 take him on.

7 I have three comments.

8 First, I guess -- first, it's great to see these
9 data, and so I really enjoyed reading the chapter.

10 I'm assuming that we're contemplating doing this
11 on a yearly, or annual basis, and updating and having trends
12 and things like that.

13 MR. ZARABOZO: I'm looking at Mark. I think the
14 answer is-

15

16 DR. MILLER: Anything to keep them busy.

17 DR. CHRISTIANSON: Good answer.

18 MR. ZARABOZO: So the answer is no, apparently.

19 DR. MILLER: The idea was to build it into the
20 landscape thing each year.

21 DR. CHRISTIANSON: Yeah. So that being said, then
22 there's a lot of, I think, interesting analyses that you

1 guys could contemplate going forward.

2 I'm wondering if you're thinking about doing some
3 of the same stuff as the analysis of the data hospital data,
4 where you look at market structure and margins and things
5 like that as you go forward, and if you have an analysis
6 plan for what you can do when you start doing longitudinal
7 data.

8 MR. ZARABOZO: We do intend -- again, I'm looking
9 at Mark here -- to look at the ins and outs of who's coming
10 in or leaving the Medicare Advantage program, that kind of
11 thing. I think for December we're hoping to be able to do
12 that.

13 DR. CHRISTIANSON: And we had this really nice
14 analysis a few years ago that Jeff and the hospital crowd
15 did, looking at margins, according to different kinds of
16 Medicare margins, depending on different kinds of market
17 structures, and I'm wondering if something the same might be
18 done here.

19 DR. MILLER: I wouldn't overbuild it. This is our
20 first pass. We're diving in.

21 We're diving in on D. We expect to bring that
22 forward next month.

1 Yeah, we'll develop a plan if you have ideas.

2 DR. CHRISTIANSON: My second comment was I think
3 this whole network adequacy is going to be extremely
4 important going forward as more people enroll in MA plans
5 and also because of the spotlight, that the health exchanges
6 have focused on network adequacy.

7 And I think part of whatever plan we have should -
8 - you cited some of the CMS criteria in terms of judging
9 network adequacy.

10 I'm wondering if we have our own thoughts about
11 what would be good metrics. It would be worth thinking
12 about, and I would love to see it by type of plan and over
13 time.

14 So, I mean, this chapter, I understand, was a
15 first pass at the data, but I think network adequacy is
16 going to need to be addressed at maybe the same level of
17 attention that margins are, actually, as we go forward.

18 And then finally, you've got data on type of
19 products, and I was wondering if it's possible for you to
20 tease out MA plans that offer what are essentially ACO
21 organizations as subproducts.

22 And I think if you could tease that out and track

1 that over time, that's one impact of ACOs that we don't
2 track. But to the extent that ACOs are creating
3 organizations that can accept risk and are then offered as
4 MA plans, I think that's another impact of creating ACOs,
5 and it would change the environment, I think, for MA plans.

6 So I don't know whether you can get data at that
7 level of product detail, but if you could, I think that
8 would be of interest to the Commission.

9 MR. ZARABOZO: Maybe. I mean, it's possible.

10 DR. CHRISTIANSON: Yeah.

11 DR. MILLER: Well, we'll come back to you. I'm
12 not immediately sure how we would do that, but we'll come
13 back to you.

14 MR. ZARABOZO: Yeah, I think a lot of it is press
15 reports on these people are now more -- you know, a lot of
16 the plans like to announce; we now have this big system in
17 our --

18 DR. CHRISTIANSON: Right. So even a plan web site
19 would be one --

20 MR. ZARABOZO: Yes, plan web sites and -- yeah.

21 MR. HACKBARTH: I just want to clarify one thing
22 for the audience.

1 So, assuming that we go ahead and, as Jon
2 suggested, report these data on a regular basis and include
3 them in our March report, in that sense, it would start to
4 look more like what we do for hospitals and some other
5 provider groups.

6 However, there is a fundamental difference. In
7 the case of hospitals and home health agencies and SNFs, et
8 cetera, by law, the way the system works, there is an annual
9 update to the payment rates for whatever the unit of service
10 is, and by law, we are asked to recommend what the
11 appropriate update is.

12 That is not the way either Medicare Advantage or
13 Part D works.

14 So, even if we're -- and I know you know this,
15 Jon, but this is for the audience.

16 Even if we're reporting margin information, don't
17 expect that to be followed with a proposal that the rate
18 should be adjusted up or down as a result of that
19 information. It's a different payment mechanism altogether.

20 Okay. So is there anybody who wants to pick up on
21 Jon?

22 Scott.

1 MR. ARMSTRONG: Just the point Jon made about
2 network adequacy, I'm not even sure that that's a
3 particularly useful term. I realize it implies that we're
4 protecting our beneficiaries from inadequate networks, but
5 my hope is as we look at this we recognize that managing to
6 a high quality, narrow network is a way of advancing better
7 quality, better outcomes, better health.

8 And I would just say for our organization we have
9 much more flexibility and, frankly, are more effective at
10 accomplishing those goals through a rigorous evaluation of
11 who is in and who is not in our network than we can as an MA
12 plan.

13 So my hope would be, as we go forward with this
14 evaluation and taking a position on these questions of
15 network adequacy, it's not simply what's the line by which
16 you judge whether someone can be pulled out of your network.
17 It's a much more complicated issue than that.

18 DR. CHRISTIANSON: And that was basically behind
19 my comment -- is we need to think of our own metrics and how
20 we want to do it.

21 MR. HACKBARTH: On that issue, I would also second
22 what I think Bill Gradison was pointing out earlier. A

1 network in your case means one thing.

2 Conceptually, somebody could reasonably look at
3 Group Health of Puget Sound and say, are there enough
4 physicians to see this enrolled population?

5 When you're dealing with networks of providers
6 that deal with a bunch of different payers, assessing
7 whether there is, in fact, clinical capacity there, not just
8 names on a network list but actual clinical capacity to care
9 for patients, this network adequacy stuff really doesn't get
10 at the fundamental issue of whether the patients can get the
11 care they need when they need it. It's a little bit
12 superficial for that.

13 MR. ARMSTRONG: Yeah, and just to add to that
14 point, our experience is not only with our own providers.
15 We're working with a very narrow network of hospitals and
16 physicians who are dealing with a full spectrum of other
17 insurers as well.

18 Our view of what the program's responsibility
19 versus MA plan's responsibilities and the criteria we use to
20 judge, to Jon's point, adequacy -- it's a lot more
21 complicated than are there enough doctors.

22 MR. HACKBARTH: Jack.

1 DR. HOADLEY: Yeah, so I had very similar
2 comments.

3 I mean, I think what this really suggests to me is
4 if we want to dig a little deeper into this issue we really
5 do need to think about the difference between different
6 models of plans and what the concept of network adequacy
7 really means because I think -- Bill's point earlier;
8 there's a lot of plans out there.

9 And we've seen it not just in Medicare but in
10 other programs -- Medicaid -- where there's a paper network
11 that isn't real because so many of the doctors aren't taking
12 new patients or are only taking a small quota of patients.

13 But it is a very different environment when you're
14 dealing with a traditional insurance company running a PPO
15 than when you're dealing with an organization like Scott's.

16 And does that suggest we need to get some
17 different set of rules to apply?

18 Where is the line drawn?

19 I think that's hard, but I think it still raises a
20 lot of issues that are good to talk about -- as the move
21 toward narrow networks, for all the value it can have when
22 run right, if run badly, can just mean an inadequate

1 network.

2 I think one of the questions I've always wondered
3 about is how much monitoring is there beyond the basic
4 rules. So, when you talk about, okay, they submit numbers
5 and at least CMS is doing a basic check of numbers, are they
6 looking underneath that for things like whether people are
7 taking new patients?

8 And again, that's been an issue with some of the
9 Medicaid programs, where they didn't do any -- they set up a
10 decent set of rules, but they didn't check underneath it to
11 see that those rules were really being followed more than
12 just some kind of a paper submission.

13 So I think those are some areas.

14 The only other point I would make on the sort of
15 going forward with the sort of margins analysis relates to
16 my question earlier.

17 Where these questions are about the differences
18 across companies, you know, there's a lot of things that are
19 pretty straightforward, where we're starting to look at
20 things in plans. And that's where a lot of the interesting
21 questions come when it's the plans that serve low-income
22 patients and the PPOs versus the HMOs and so forth.

1 If it looks like a lot of that is somewhat an
2 artifact of accounting, the same problems we run into when
3 we look at things like hospital outpatient departments, then
4 we've got to really be careful about what we're doing.

5 And if we can get any more insight into how that's
6 typically done by these companies that offer this large
7 range of plan products and how much the CMS bid rules allow
8 them -- because I've always heard it stated that you can't
9 really subsidize -- cross-subsidize from one product to
10 another.

11 But what does that actually end up meaning in
12 practice? I think the better we can understand that, the
13 more we'll know how much to make of that kind of analysis.

14 DR. REDBERG: So I thought this chapter was really
15 helpful and a great start to look at Medicare Advantage.

16 After we establish margins and network adequacy --
17 you know, I see those as kind of intermediate outcomes.

18 But we really, I think, have to, when you talk
19 about metrics, talk about clinical things that are
20 meaningful to patients. We really need to look at health or
21 how the patient is feeling in these different plans and
22 compare to fee-for-service.

1 Are they living longer?

2 Are they -- is their quality of life good?

3 Are they functioning independently?

4 You know, sort of meaningful.

5 Just having access to a doctor is not really an
6 outcome, to me.

7 You really want to look at how are patients are
8 doing, and I just would like that to be our metrics in
9 future rounds.

10 MR. ZARABOZO: Of course, in December, we do talk
11 about quality to the extent that we can talk about quality.

12 MR. HACKBARTH: Just to add on to that, three or
13 four years ago, we were asked by Congress to look at the
14 specific issue of developing metrics by which we could
15 compare Medicare Advantage plans to fee-for-service in the
16 same area.

17 Suffice to say, it's easier said than done for a
18 lot of the measures. The data sources are very different.

19 And we made some recommendations of how we could
20 move towards that goal, but there are some complicated
21 issues involved there.

22 Okay. So we're on round two.

1 Is it something other than network adequacy?

2 Anybody who wants to touch on network adequacy

3 before we leave?

4 Alice, network adequacy? You're up.

5 DR. COOMBS: So I just wanted to speak to

6 something that someone has already talked about a little bit

7 but with a different lens, and that is, in terms of the

8 ability to monitor or to assess what a network should look

9 like, I think narrow networks are fine.

10 It's when there's a major change where 10,000

11 providers are dropped at one fell swoop and --

12 MR. HACKBARTH: That is major.

13 DR. COOMBS: And it has untoward effects, and

14 there's not this process -- there's not time for the process

15 of actually having some kind of plan where you say this has

16 reached a critical level.

17 I think that we have to be anticipatory in some

18 strategy to say this is actually a critical threshold mark.

19 How that's done, I'm not sure.

20 But it really is a change in the network of

21 functioning systems that are already underway, and then

22 suddenly, you have providers drop.

1 And it also can impact not just primary care
2 providers, but say you have one dialysis doctor because two
3 of the other ones' contracts are terminated. That's huge in
4 terms of being able to do dialysis on this large, large
5 population.

6 So I think we always think primary care-centric,
7 but there are some specialties that we should really be
8 concerned about in the critical level.

9 And I think it's the change in the network because
10 it's good to have a narrow network.

11 And I think Scott, you know, you guys have
12 probably done an incredible job. But when there's a change
13 and there's not a lot of time to have a response to it.

14 MR. HACKBARTH: I think you're absolutely right,
15 Alice.

16 And sometimes the two issues of narrow networks
17 and change are conflated into one issue, and they're really
18 very different issues.

19 And, personally, I believe plans should have a lot
20 of freedoms to do narrow networks for the reasons Scott has
21 described.

22 But that's a different thing than bait and switch

1 and saying you advertise one network and then people get in
2 and you switch the network on them. I think that's a real
3 problem.

4 Now, Carlos, I heard you say that, in fact, CMS --
5 is it a proposed rule, or is it actually now final -- what
6 to do when there's a major network change?

7 MR. ZARABOZO: This is in the call letter. So it
8 is CMS policy.

9 MR. HACKBARTH: Yeah.

10 MR. ZARABOZO: It is, yeah.

11 MR. HACKBARTH: And so they define what
12 constitutes major and then what the beneficiary rights are
13 as a result of that, and that includes the special
14 enrollment period that we touched on earlier.

15 DR. COOMBS: Just being practical, how quick is a
16 beneficiary notified when something like that happens?

17 MR. ZARABOZO: Again, the regulations say you must
18 make a good effort -- a good faith effort to notify the
19 beneficiary within 30 days or give 30 days' advance notice
20 to the beneficiary of this occurring.

21 DR. COOMBS: And so I read that. But how often
22 does that actually happen?

1 I'm just curious in terms of --

2 MR. ZARABOZO: Yeah, I don't know. I don't know,
3 yeah, the compliance level, so to speak.

4 MR. HACKBARTH: Okay. We're ready to open up a
5 new topic.

6 Let me see hands of people who want to get and go
7 in a new direction.

8 Cori, just label where you want to go for a
9 second.

10 MS. UCCELLO: Just some margins, more in-depth
11 analysis.

12 MR. HACKBARTH: Yeah. Anybody want to pick up on
13 that?

14 Scott and -- okay, we've got several people who
15 want to go that way.

16 Cori, you lead it.

17 MS. UCCELLO: So I've already shared some of this
18 with Carlos, and because I'm trying to overcome my shyness,
19 I'm going to force myself to say it out loud, in public.

20 So -- no? Stop?

21 So I'm just really intrigued by some of these
22 margin differences, and I've been trying to think about,

1 well, what's causing some of these negatives, what's causing
2 the positives.

3 And I don't think -- this is not something we need
4 to do for December or this year but maybe as we continue
5 this on in the future, trying to understand better:

6 Are the margins low because the bids were too low?

7 Because they were trying -- if they're new, are
8 they trying to get more market share; so it's a strategic
9 kind of thing?

10 Or, did they bid too low because -- inadvertently,
11 because they're just not as good at managing care?

12 Are there differences -- I mean, is it the coding
13 that's just causing differences in the revenues that are
14 coming in?

15 Just trying to get more at some of those things
16 that are underlying and trying to figure out if we have
17 concerns about what's going on or not because the bids were
18 -- you know, we see the margins, but the bids aren't equal.

19 So there's a lot of moving parts here.

20 So it's hard to assess what it is that's going on.

21 MR. HACKBARTH: In order to evaluate what's going
22 on underneath, Craig, what do we need?

1 DR. SAMITT: So thanks for the introduction. You
2 know, it's been a while since I've asked for encounter data.

3 You know, I would echo Cori's questions.

4 I'm intrigued by the variation in the margins
5 here, and I think we need to understand in greater depth if
6 there's capacity for analysis of a few things.

7 I mean, I'd be interested in elaborating further
8 on the coding differences that you've referenced. I think
9 that would be important to look at.

10 I would be interested in understanding how the
11 various MA plans pay their providers and to what degree does
12 subcapitation influence margins or protection of margins.

13 Do we see that more in the plans that are
14 subcapitating providers?

15 And should we also even be looking at the margins
16 of the subcapitated providers, if we can get at that as
17 well, as well as utilization differences?

18 Are we seeing innovation in the MA plans that are
19 driving favorable margins, and is there something to be
20 learned from that even as it applies to traditional
21 Medicare?

22 So encounter data may be very helpful information

1 to layer against and compare with some of the margin
2 information that you've already analyzed. It may offer some
3 additional insights for us to look at.

4 MR. HACKBARTH: Carlos, what do we know?

5 What does CMS collect about the methods by which
6 plans pay their network?

7 Does CMS know who's capitated and who's paid on
8 discounted fee-for-service?

9 MR. ZARABOZO: I'm not sure that they know that.
10 I don't think they know that.

11 But when MedPAC, a long time ago, asked, I think,
12 Marsha Gold to look at what is the payment arrangement
13 between providers and plans, it had to be go to each plan
14 and say what are you doing with your providers.

15 I'm not sure that CMS has a way of knowing, for
16 example, the capitation is entirely -- the MA payment is 95
17 percent goes downstream. I'm not sure that they know that.

18 And I'm not sure that we can really look at a
19 subcapitated level to see what's happening, even with the
20 encounter data.

21 MR. HACKBARTH: Without a special study. You
22 know, commissioning some plan work.

1 I have Bill and then Dave.

2 MR. GRADISON: The discussion of marketing costs
3 has caused me to think a little bit more about what role
4 exchanges could play in the future.

5 And these exchanges can come at least in two
6 different varieties. One would be the ACA exchanges
7 themselves, whose purposes could be broadened. And another
8 would be the private exchanges that are cropping up and
9 giving choice to apparently an increasing number of
10 privately insured through employer-paid plans to make
11 choices.

12 Cross-cutting that, there's a question of whether
13 -- if exchanges were increasingly used, what would be the
14 payment rate?

15 And of course, one initial answer is use the
16 current bidding system but look into whether exchanges might
17 help to lower marketing costs without changing the
18 reimbursement level, just as a way to constrain cost.

19 Obviously, a further step in the analysis -- and
20 I'm sure it's controversial, but I think some day it might
21 be worth taking a look at -- is what if we moved away from
22 this current -- I was going to say very complicated; that's

1 true, too.

2 If we moved away from the current method of
3 establishing bids and used an exchange system where the
4 plans market themselves at whatever price they want to
5 market and compete with each other, as is happening in the
6 ACA plans today.

7 So this is nothing immediate. But the interaction
8 between what we're talking about and exchanges, looking
9 perhaps a few years in the future or something, I would
10 encourage us to take a look at.

11 MR. HACKBARTH: Is it on this particular issue,
12 Kate?

13 DR. BAICKER: So this competition idea, I think I
14 had in mind that plans would attract enrollees by offering
15 better benefits with lower margins built in, and some of the
16 materials hint at how many plans are in positive margin
17 versus negative margin, but I didn't get a sense of this
18 question of whether the competition between the plans
19 actually attracts -- are beneficiaries in general moving
20 towards plans with lower margins or towards plans with
21 higher margins? Is there any evidence of competition
22 working to keep margins down, or do we actually see the

1 reverse?

2 MR. ZARABOZO: That's a good question. We haven't
3 looked at that, but it's a good thing to look at, I think.

4 DR. MILLER: I do just want to remind everybody.
5 So I can't remember the year, but we did kind of go through
6 and do some simulations of different ways of thinking about
7 the MA baseline, whether you set it administratively this
8 way or whether you set it competitively at the average or
9 the 75th percentile or whatever it turned out to be.

10 And then also, we did some analysis where we were
11 looking at -- and this is a couple of years ago now -- sort
12 of thinking about doing that kind of a framework with both
13 fee-for-service and MA and wrote that up in a chapter a
14 couple of years ago. So there's been some of that going on,
15 but the notion of returning to it, I don't have any
16 objection to.

17 And I would also just take Kate's question and say
18 for us to go back and talk about not just are they moving --
19 which is fine -- in direction of high-low margins, but what
20 indicators are people moving in the direction of? Does the
21 premium, does the -- you know, that type of thing. I would
22 sort of broaden it a little bit.

1 DR. NERENZ: Well, this question may now follow
2 Cori and Craig and Kate, so it's building.

3 If you could put Slide 7 up, please. I thought
4 this was really interesting, particularly when combined with
5 the information of Table 2 in the materials.

6 If I am interpreting Table 2 correctly, I guess it
7 is not height of the bar. What do we call it? The width of
8 the bar? The extent of the bar to the right is essentially
9 a visual proxy for company size. It is not plan size. But
10 just in looking at the detail in the table, the small
11 companies tend to either not do very well or a few of them
12 do extremely well. But the big companies do well, and I
13 don't know if this is now directly a reflection of sort of
14 not your dynamic, but the people seem to be in the plans
15 that have the higher margins, at least in the big companies.

16 But it now is a special case of Cori's question.
17 Is that because the big companies have better negotiating
18 leverage and they use that kind of dynamic to get better
19 margins? Are they better bidders, or is it the other way
20 around?

21 MR. HACKBARTH: Are they in markets with high
22 traditional Medicare costs --

1 DR. NERENZ: It could be that. It could be that.

2 MR. HACKBARTH: -- where the enrollment still
3 tends to cluster and we have some evidence of higher margins
4 in those places, as well?

5 DR. NERENZ: Or, since this is one year, is it
6 just some random variation? That is, the small companies
7 just are more variable in terms of their margin, and so they
8 just happen to fall to the extremes, but in a different
9 year, they look different.

10 So what's the message here about company size? Is
11 there a message?

12 MR. ZARABOZO: There might be, and I think you
13 might vary the smaller companies, smaller margins, part of -
14 - I mean, economies of scale and so on, you may have in-
15 house marketing people as opposed to having to pay brokers
16 and potentially better ability to negotiate with providers,
17 as you suggested.

18 We can look at it. It would be nice to look at it
19 over time. I mean, part of the purpose of doing this is
20 sort of have a baseline and look at over time what happened
21 to -- like, for example, looking at this 2012's negative-
22 margin people, what happened to those people?

1 MR. HACKBARTH: Okay. Let's see. I have Jack.
2 Are you going in a new direction? Go ahead.

3 DR. HOADLEY: I mean, on this very specific point
4 that you are mentioning, this is the company-level analysis.
5 So, I mean, to Glenn's point, many of these companies,
6 certainly the larger companies, are national. They are
7 going to be in -- to the extent that they pick and choose,
8 some obviously more and less, but they are going to be in a
9 lot of different kinds of markets. So it gets to part of
10 how we need to look at that.

11 To Kate's point, the other thing that seems like
12 it's sitting out there is the start ratings. I mean, is
13 there any correlation between star ratings and these
14 margins? And the related question that we've asked before
15 is whether people are drawn at all to the companies with
16 higher star ratings, and the literature, what little there
17 is, it seems to be maybe a little bit moving towards higher
18 star ratings, but not real strong on that point.

19 Together, those create some interesting questions.
20 If start ratings and margins have some particular
21 relationship, even more reason to look at whether people are
22 gravitating to ones that are high quality and operating

1 efficiently.

2 MR. HACKBARTH: If I was interpreting Slide 7
3 correctly, it really doesn't directly address company size.
4 What it says is that the enrollment tends to be in places
5 where the margins are high. That could be, in theory,
6 smaller companies, just a lot of them, enrolling a lot of
7 beneficiaries in places that are very profitable.

8 DR. NERENZ: Glenn, that's why I made reference to
9 Table 2, because it refers to a number of companies --

10 MR. HACKBARTH: Oh, okay.

11 DR. NERENZ: -- and the number of enrollees, and
12 it just turns out that there are significant number of
13 companies in those upper bars, even though it's few
14 enrollees.

15 MR. HACKBARTH: Yeah.

16 DR. NERENZ: So there are just a lot of small
17 companies that are in the red-shaded area as well as the
18 other extreme.

19 MR. HACKBARTH: Okay. I didn't look at Table 2.
20 Yep.

21 MR. ZARABOZO: On that point, Jack, we did look at
22 the stars for 2012, which was the first year of the bonus

1 program, and the highest margins were among the 3.5 star
2 plans, which were bonus plans at that time.

3 But, of course, stars and benefits are tied
4 together, so it's hard to say people are enrolling in a
5 high-star plan, as Jon raised, because they are high-star
6 plans. Well, they offer better benefits, so that may be why
7 they seem to be migrating to the higher star plans.

8 MR. HACKBARTH: Yeah.

9 DR. REDBERG: I don't think people use the stars
10 as much as the benefits, personally.

11 MR. HACKBARTH: So we're down to -- let's see.
12 Actually, we've got about 15 minutes still left. So, Jay,
13 do you want to go in a new direction? Okay.

14 DR. CROSSON: So I wanted to talk about the PSO
15 issue a little bit, because I think we might be making an
16 error if we just kind of dismiss it, because the waiver ran
17 out and the like. In the way it was constructed originally,
18 not a lot of organizations chose to go in that direction,
19 but that was 10 years ago or more, and we have a different
20 situation.

21 What?

22 MS. BUTO: More like 20.

1 DR. CROSSON: 20 years ago.

2 I think the reason it is potentially interesting
3 to me -- and you may have been approaching that earlier --
4 is that it might create -- by reanimating it some way,
5 legislatively. It could potentially create some positive --
6 it could help with some positive directions that we've
7 talked about before. It could potentially make it easier
8 for ACOs or provider organizations to assume risk, to engage
9 in care coordination, without having to go through a plan.

10 Now, I have nothing against plans, having worked
11 in a fully integrated organization my whole life, but I do
12 know that it is one of the barriers to some of the larger
13 integrated system trying to get into this business, because
14 they can't necessarily find a plan that they're comfortable
15 working with.

16 If they create one, a small one, that's a lot of
17 work, but it also creates retaliation in some circumstances
18 by other payers if they do that, and so they don't do that.

19 It seems to me that this could potentially obviate
20 some of that barrier problem and speed along the development
21 of these kinds of organizations, within the Medicare program
22 for sure. It has the potential to solve the attribution

1 issue, which has been so troublesome, since it is an MA
2 plan, to be able to be real attribution.

3 Having said that, it would seem to me that one of
4 the problems -- I tried to read between the lines, Carlos,
5 in what you've written -- that one of the problems with
6 uptake was not only the regulatory requirements, but the
7 fact that when this was being discussed in the past, the
8 assumption was that Medicare would fully pass the risk to
9 the provider organization. In other words, it would be full
10 global capitation.

11 If you look at what's going on now, we almost have
12 the opposite problem, at least with respect to the Medicare
13 shared savings programs, where the transfer of risk or gain
14 is so small that plans -- I mean provider organizations have
15 had perhaps less than a robust incentive in order to sign
16 up.

17 So I wonder whether or not there's some new
18 thinking that we could get into which would be characterized
19 by reanimating the MA PSO option, which is or is not still
20 on the books, but certainly would require some legislative
21 change. But constructing a different kind of shared risk
22 assumption or a cap or capitation or some process by which

1 over time perhaps, for certain types of services versus
2 others, the provider organization would be accepting a lot
3 of risk, but for others, Medicare would be carrying the
4 risk. I know this model very well from my past experience.

5 And we could also deal with some of the risk
6 assumption, positive, negative, downside kinds of barriers,
7 which have gotten in the way of ACO development and uptake
8 and maintenance.

9 Now, this is not a simple issue because, again,
10 this would require some rethinking and passage of
11 legislation, but it also struck me -- and I think there was
12 a comment, at least in one of the articles that I read --
13 that depending on how you talk about it and how you frame
14 it, there's a potential for bipartisan support for moving in
15 this direction; that is, integrated systems with prospective
16 payment in Medicare.

17 And I just wondered whether -- and I think we need
18 -- I'd hope we could just think about it, whether or not
19 moving in this potential direction as opposed to calling
20 things what we have been traditionally been calling them
21 would perhaps create a different political alignment around
22 this direction.

1 Those are my points.

2 MR. HACKBARTH: The idea of opening up new
3 options, different paths, is one that always appeals to me,
4 just as a matter of principle.

5 I have wondered, though, about the PSO experience
6 and why it never caught on. So, as I understand the PSO
7 framework focused on, well, let's have a different way of
8 establishing the financial ability of these organizations to
9 bear risk, something that's different than traditional state
10 insurance regulation.

11 DR. CROSSON: It was also full risk.

12 MR. HACKBARTH: Yeah.

13 DR. CROSSON: You take all the risk.

14 MR. HACKBARTH: Right.

15 DR. CROSSON: Medicare keeps no risk.

16 MR. HACKBARTH: Right. But insurance companies do
17 other things besides bear financial risk. They do
18 marketing, and they do claims and that sort of stuff. So if
19 an organization, a PSO, is going to be competitive in the
20 Medicare Advantage business, not only do they need to have a
21 way to handle the risk, they also need to carry out these
22 other insurer functions, because it is an enrollment

1 program, not an assignment program, the way ACOs are.

2 And those are functions that cost money, that even
3 integrated health care systems don't generally know how to
4 do, so it may be that some of the barrier to PSOs was that
5 other stuff, as well.

6 MS. BUTO: Think back 20 years ago. There were so
7 few new ideas on the table.

8 I think one of the issues, Glenn, was the --
9 correct me if I am wrong. You would remember this, but I
10 think the BBA also substantially lowered the rates for
11 tougher risk plans or the equivalent --

12 MR. HACKBARTH: It was a 2 percent rate of
13 increase.

14 MS. BUTO: And there was a redistribution that
15 happened between the high-cost areas, and so there was
16 another kind of wave of nausea, if you will, around how
17 predictable a partner the government was going to be on
18 something this new that would require a lot of organization,
19 capitalization, and so on and so forth. So that was
20 certainly part of it, at least that was the feedback we got
21 at the time.

22 The other thing that strikes me is that -- I

1 remember during the negotiation, we talked about the
2 administrative functions and having to establish some kind
3 of an administrative partner with the provider-sponsored
4 organization. A number of organizations were already moving
5 in that direction. Then a number of them have become
6 Medicare Advantage plans.

7 I think Scott's point, which he made a while ago,
8 was another issue, and that was how do you maintain a level
9 playing field between state-licensed managed care plans and
10 a federally sanctioned managed care organization. And that
11 would have to be tackled again.

12 Now, maybe the ACOs raises at least the question
13 of some kind of an off ramp into a more fully integrated
14 managed care risk plan, and it could be more of the off
15 ramp, that in-between thing rather than closer to risk, but
16 not necessarily full risk. But we'd have to really look at
17 it to see what has changed since then that we might want to
18 consider, because I think all these things are still out
19 there.

20 MR. HACKBARTH: Jay, I just want to underline the
21 purpose was not to try to shut down the idea. In fact, in
22 principle, I do think that the more flexibility we can offer

1 in terms of how providers offer themselves, assume financial
2 risk, relate to insurance companies, in principle, that's a
3 good thing to me, but there are a lot of different potential
4 elements to it.

5 I have Jon and then Mary.

6 DR. CHRISTIANSON: Yeah, I like the comment, Jay.
7 I like it. I think we should explore it, and I think one of
8 the things that has changed is a larger percentage of
9 employers are self-insured now, and we have a more robust
10 market for reinsurance and for the sale of administrative
11 services that might have existed. And I think that adds to
12 the feasibility of sort of returning to this idea and
13 exploring it a little more.

14 DR. NAYLOR: So I'm absolutely out of my league,
15 but one of the things that intrigued me was that 18 percent
16 of hospitals currently own insurance companies or programs,
17 and an additional 28 percent are expected to launch. So it
18 didn't sound, as I am reading that, that there are major
19 barriers, at least at the hospital level, which is different
20 than a community-based provider. So I was wondering if you
21 could comment on what might be barriers that would lead or
22 support movement around a federal PSO.

1 MR. ZARABOZO: Well, the only thing I can say is
2 that there are number of provider-sponsored organizations,
3 and there are several coming on in 2015, also. So this is
4 happening that these provider groups are forming health
5 plans that become Medicaid Advantage plans.

6 And I don't know. Maybe in particular states,
7 there are larger barriers than in other states, but, again,
8 as Mark points out, there's more to being a health plan than
9 just, "I have a delivery network here," and so we'll see
10 what develops with these kinds of organizations.

11 MR. HACKBARTH: Okay. We are at time. I have Jon
12 and then Scott, and then we'll have to wrap it up.

13 DR. CHRISTIANSON: Yeah. Just very quickly, as
14 I've said before, some of them are coming online by being
15 offered as options within MA plans, so they're sort of like
16 -- they don't know how big that demand is for accepting
17 risk, but at least there are some provider organizations
18 that are experiencing.

19 The sort of issues of managing care under ACOs, I
20 think they're willing to accept more risk, and one way to do
21 that is being offered as a capitated option under an MA
22 plan.

1 MR. ARMSTRONG: Also very quickly, I just want to
2 affirm that I think that this also is a topic really worthy
3 of our closer attention. Really, I worry about it from both
4 sides, as an advocate for the kind of policy agendas that
5 Jay and others were talking about to promote integrated
6 risk-bearing groups that engage patients in real
7 relationships and are accountable for outcomes.

8 On the other hand, we do have to be really
9 explicit about the expectations that we placed on insurance
10 companies and the work that is required not only to comply
11 with regulations, but to assure our beneficiaries are
12 getting the care that they require.

13 In fact, I would argue there are too many
14 restrictions, and there's this middle space in between that
15 I think MedPAC could do an excellent job of trying to flesh
16 some of the issues out and shed a little more light on.

17 MR. HACKBARTH: And, of course, at some point, we,
18 I think, potentially bump into issues about federal and
19 state responsibilities. Regulation of insurance companies
20 has traditionally been the domain of the states, and we've
21 made some -- sort of carved into that in some ways. The
22 more we carve into it, the more potential for friction

1 between the federal and state governments.

2 So lots of interesting potential avenues here.

3 Thank you, Carlos, for your work on this.

4 Let's now move to our last item for today -- or,
5 no, next-to-last item for today. Excuse me. It's funny how
6 I -- yeah.

7 So next to the last for today is payment for
8 primary care, specifically converting the bonus for primary
9 care into a per beneficiary per month payment.

10 So, Julie, Kevin, who's leading? Kevin.

11 DR. HAYES: Good afternoon. This session is for
12 your continued discussion of issues surrounding this per
13 beneficiary payment.

14 By way of a recap of your previous discussions,
15 recall that there is today a Primary Care Incentive Payment
16 program. The Commission recommended such a payment in its
17 June 2008 report. It became law as part of PPACA. In a
18 moment, I will go over the details of how the program works,
19 but for now, it is important to note that the bonus equals a
20 percentage of fee-for-service payments for primary care.

21 The bonus program expires at the end of 2015.

22 I'll note here that, at the beginning of 2015, a

1 new payment for chronic care management will start. Its
2 structure is very different from the primary care bonus, and
3 it's different from the per beneficiary payment that is the
4 subject of today's discussion. However, if you have
5 questions about the payment for chronic care management, we
6 will take those on question.

7 Specific to the primary care bonus, you considered
8 several questions over the course of three meetings during
9 the Commission's last report cycle. Should the bonus be
10 replaced with a per beneficiary payment? If so, what are
11 the important design issues for a such a payment? And how
12 should the payment be funded?

13 One outcome of those meetings was a chapter in the
14 June 2014 report. The chapter did not include
15 recommendations. You did, however, direct us to develop a
16 policy option for consideration this fall.

17 Our agenda for today begins with the Commission's
18 rationale for replacing the primary care bonus with a per
19 beneficiary payment. From there, we review your previous
20 discussions on the topic as represented in the June report
21 chapter.

22 First up, the payment amount for a per beneficiary

1 payment.

2 Second, the method of funding for the payment.

3 Third, whether receipt of the payment should be
4 contingent on meeting practice requirements.

5 And, fourth, an approach to attributing
6 beneficiaries to a practitioner.

7 We will conclude with the statement of a policy
8 option for replacing the current primary care bonus. This
9 will be a policy option that we believe includes the
10 elements of a recommendation you could make on a per
11 beneficiary payment.

12 Your discussions on this topic started from the
13 position that primary care is undervalued in Medicare's fee
14 schedule. Further, the fee schedule contributes to
15 disparities in physician compensation. Average compensation
16 for some specialties can be more than double the
17 compensation of primary care practitioners, with
18 compensation measured either in the aggregate or per hour
19 worked.

20 The consensus you reached was that a per
21 beneficiary payment could replace the expiring primary care
22 bonus. Primary care is essential to delivery system reform.

1 A per beneficiary payment would be a step away from the
2 unit-based payment of the fee schedule and toward a
3 beneficiary-centered approach that encourages non-face-to-
4 face activities critical to care coordination.

5 Replacing the primary bonus with a per beneficiary
6 payment would require resolution of certain design issues:
7 the amount of the payment, a funding source, whether
8 practitioners and their practices would have to satisfy
9 requirements to be eligible for the payment, and

10 how to attribute beneficiaries to a practitioner.

11 Each of these issues was discussed in the June report
12 chapter, and we will review them during our presentation
13 today.

14 By this year's April meeting, your discussion was
15 at a point where there was support among Commissioners for
16 funding a per beneficiary payment at the same level of
17 funding as the primary care bonus program, at least as an
18 initial starting point. The current program provides a 10
19 percent bonus on primary care services furnished by primary
20 care practitioners.

21 In 2012, bonus payments totaled about \$664
22 million, with about 170,000 practitioners receiving the

1 bonus. Those practitioners accounted for about 20 percent
2 of practitioners billing Medicare in that year. Bonus
3 payments per practitioner averaged about \$3,400. However,
4 practitioners who provided more primary care services to a
5 greater number of fee-for-service Medicare beneficiaries
6 received much more than the average. For example, the
7 average bonus for those in the top quartile of the bonus
8 distribution was about \$9,300.

9 To convert the primary care bonus to a per
10 beneficiary payment, we start with the \$664 million in bonus
11 payments. The primary care practitioners receiving the
12 bonus provided primary care services to about 21 million
13 fee-for-service beneficiaries. Dividing \$664 million by 21
14 million beneficiaries results in about \$31 per beneficiary.

15 With a payment financed as a replacement for the
16 primary care bonus, Medicare beneficiaries would not pay
17 cost sharing. Medicare could make the payment on a periodic
18 basis, say quarterly, which is how the primary care bonus is
19 paid.

20 Turning now to possible sources of funding for the
21 per beneficiary payment, your discussion to date has focused
22 on: concerns about support for primary care,

1 recommendations the Commission has made about rebalancing
2 the fee schedule, and redistributing payments within the fee
3 schedule.

4 To redistribute payments, you have considered two
5 strategies. One is to reduce payments for services not
6 eligible for the current primary care bonus. I'll define
7 those services in a moment. The alternative is to reduce
8 payments for services identified as overpriced. This
9 alternative is the one you discussed the most at previous
10 meetings. Let me say a few things now about this
11 alternative before coming back to the broader approach of
12 reducing fees for services not eligible for the bonus.

13 In considering overpriced services as a funding
14 source for the per beneficiary payment, two issues are worth
15 noting.

16 First, after the June report chapter was drafted,
17 the Congress used some of the savings from overpriced
18 services to override the SGR. One question, therefore, is
19 whether, going forward, savings from overpriced services
20 will be used for other purposes.

21 A second issue is that the level of savings from
22 overpriced services changes from year to year depending on

1 the overpriced services identified. This introduces some
2 uncertainty in estimating savings.

3 Of course, if savings from overpriced services do
4 prove to be identifiable and sufficient, overpriced services
5 could be considered as a funding source. In the meantime,
6 overpriced services are best viewed as an alternative
7 funding source for the per beneficiary payment rather than
8 the funding source to use when the payment is initiated.

9 Let's return now to services not eligible for the
10 current primary care bonus and whether they could serve as a
11 source of funding for the per beneficiary payment.

12 This alternative would protect the services
13 eligible for the primary care bonus but reduce the payments
14 for all other services in the fee schedule. The savings
15 would then be redistributed as the per beneficiary payment.

16 Before getting into the specifics of how this
17 funding method would work, let's review how the current
18 bonus works.

19 The requirements for receipt of the bonus are as
20 follows: It's applied to the payments for a subset of
21 evaluation and management services, such as office visits.
22 The bonus is available to family medicine physicians,

1 general internists, geriatricians, nurse practitioners, and
2 others. And it's available to those for whom primary care
3 services account for at least 60 percent of total allowed
4 charges.

5 Given the specifics of how the current bonus
6 works, we are now ready to talk about a fee schedule
7 reduction as the source of funding for the per beneficiary
8 payment. The intention here is to have a per beneficiary
9 payment that's comparable to the current bonus. Total
10 monies would be the same and going to the same
11 practitioners.

12 Looking at this graphic, there are two ways to
13 accomplish this. First, it's possible to protect the
14 primary care services eligible for the bonus and then reduce
15 the payments for everything in the fee schedule -- services
16 and practitioners -- not eligible. This is the option shown
17 on the left side of the graphic.

18 Funding for the per beneficiary payment would come
19 from about 90 percent of the fee schedule. It would require
20 a reduction in payment for those services of about 1.1
21 percent.

22 A variant on this option is to protect all bonus-

1 eligible E&M services, regardless of specialty and
2 regardless of whether primary care services account for at
3 least 60 percent of a practitioner's allowed charges.

4 Going from left to right, this is the option shown
5 on the right side of the graphic. In this case, funding
6 would come from about 75 percent of the fee schedule.
7 Because the funding would be coming from a smaller portion
8 than the earlier option, the reduction would be a bit
9 larger: 1.4 percent.

10 So that's where things stand with your discussion
11 of funding the per beneficiary payment. Julie will now
12 review your discussion of the two remaining design issues.

13 DR. SOMERS: Our third design issue concerns
14 whether receipt of a per beneficiary payment should be
15 contingent upon fulfilling practice requirements such as
16 extended office hours or opportunities for patients to
17 communicate with their practitioner through e-mail.

18 Over the course of its discussions, the Commission
19 appeared to reach a consensus on having no practice
20 requirements. That decision was favored for two main
21 reasons:

22 First, a payment amount at the current primary

1 care bonus level may not be enough for practitioners to make
2 substantial practice investments.

3 And, second, regardless of the funding level,
4 evidence concerning the effect of practice requirements on
5 improving quality and reducing health care spending has been
6 mixed.

7 However, the issue of practice requirements could
8 be revisited in the future. Some of you indicated the
9 sentiment that the initial implementation of a per
10 beneficiary payment should be viewed as a starting point
11 that could be built upon going forward. So in the future,
12 the Commission may recommend practice requirements, if the
13 per beneficiary payment amount were to increase and if new
14 evidence were to show that certain practice requirements are
15 effective at increasing quality and lowering costs.

16 Our fourth and last design issue is how to
17 attribute beneficiaries to practitioners. Unlike the
18 service-based primary care bonus, a per beneficiary payment
19 necessitates attributing a beneficiary to a practitioner to
20 ensure that the right practitioner gets paid and that
21 Medicare does not make payments to multiple practitioners on
22 behalf of the same beneficiary.

1 Among other options the Commission considered were
2 prospective attribution and retrospective attribution. In
3 prospective attribution, beneficiaries are attributed to
4 eligible practitioners at the beginning of the performance
5 year based on the plurality of eligible primary care
6 services furnished in the previous year.

7 In retrospective attribution, beneficiaries are
8 attributed to eligible practitioners at the end of the
9 performance year based on the plurality of eligible primary
10 care services furnished in the actual performance year.

11 While there are pros and cons to both methods of
12 attribution, the Commission appeared to favor prospective
13 attribution. Advantages of doing so include the ease with
14 which it could be administered. Like the primary care bonus
15 payment, the practitioner would receive payment
16 automatically without extra paperwork requirements on behalf
17 of practitioners and beneficiaries.

18 The practitioner could also be paid throughout the
19 year and may be better positioned to make front-end
20 investments in infrastructure and staffing that facilitate
21 care coordination.

22 However, under prospective attribution, if

1 beneficiaries do not stay with the same practitioner
2 throughout the year, or if they switch practitioners from
3 year-to-year, practitioners would be paid for beneficiaries
4 no longer under their care.

5 At the April meeting, Commissioners asked staff to
6 look into this issue. We did, and here's what we found:

7 An overwhelming majority of beneficiaries (69
8 percent) stayed with the same practitioner within a year.
9 And a smaller majority (60 percent) stayed with their
10 practitioner from year to year.

11 We also found that, from a practitioner's
12 perspective, some beneficiaries switch out of the
13 practitioner's practice and go to other practices, while
14 other beneficiaries switch in from other practices. So, on
15 net, practitioner panel sizes are relatively stable from
16 year to year.

17 And, finally, even for those practitioners whose
18 panel sizes do increase or decrease from year to year, those
19 changes will be reflected in the attribution for the next
20 performance year. So per beneficiary payments in the next
21 performance year will move up or down according to the
22 changes in panel size.

1 So to wrap up, at the end of your discussions in
2 the spring, you asked us to formulate a policy option. e
3 did so, and it is presented here on this slide for your
4 review. It is our best effort at representing the views of
5 the Commission to date.

6 Stepping through the bullet points, there appeared
7 to be clear consensus on replacing the expiring primary care
8 bonus with a per beneficiary payment at a payment amount set
9 at the level of the current bonus.

10 On source of funding, last spring there was an
11 interest in using savings from reducing the fees of
12 overpriced services. But since then, as Kevin just
13 explained, the Congress has used some of the savings from
14 overpriced services to override the SGR, and the Congress
15 could continue to use those savings for other purposes going
16 forward.

17 Due to those circumstances, we put up here for
18 your consideration the other funding method Kevin outlined:
19 to reduce fees for all services that are not eligible for
20 the current bonus. But we'll leave that for your discussion
21 today.

22 On attribution, the Commission appeared to favor

1 attributing beneficiaries to practitioners prospectively.

2 And finally, on practice requirements, the Commission

3 favored no practice requirements at this time.

4 So, in summary, we think the main issue left for
5 discussion today is how to fund the per beneficiary payment.

6 With that we conclude, and we look forward to your
7 discussion.

8 Thank you.

9 MR. HACKBARTH: Okay. Thank you, Julie and Kevin.

10 I want to say a couple things at the outset, one
11 about where we are in the process, and the other a little
12 bit of context, in particular for Kathy and Warner.

13 The process piece is simple. My hope is that,
14 after today's discussion, we will have the raw material for
15 a draft recommendation to be discussed next month, hopefully
16 working towards a final recommendation in January that would
17 be included in the March report.

18 In terms of the context for this, this issue ha a
19 fairly long history in MedPAC, really going back to 2008-
20 2009. And it was back in that time frame that we first
21 recommended the primary care bonus that is in current law.
22 It was enacted as part of the Affordable Care Act in 2010.

1 As you know, that is expiring, and that is why we're now
2 revisiting this issue.

3 You know, our feeling in 2008 and 2009 was that it
4 made sense to make this adjustment and payment outside the
5 framework of the resource-based relative value scale for a
6 combination of reasons having to do with the perceived high
7 value and importance of primary care and concerns about the
8 economics, the viability of primary care practice. But it
9 is decidedly something that, you know, is happening outside
10 the normal construct of resource-based relative values.

11 I do think it's important to emphasize that we've
12 done a lot of work also within the confines of the fee
13 schedule to try to improve the measurement of relative
14 values, and over years work encouraged by us and some done
15 by others has led to a series of adjustments in payment for
16 our evaluation and management services that have pretty
17 significantly increased E&M relative to other services.

18 The last time we talked about it, Kevin, it was,
19 you know, 28 percent or something like that, cumulative --

20 DR. HAYES: [off microphone].

21 MR. HACKBARTH: Yeah, adjustment in E&M services
22 from a series of changes in both -- various parts of the

1 system. So that's important work. We will continue that
2 work. This isn't in lieu of that but, rather, in addition.
3 And I'd highlight that that's also E&M services, and E&M
4 services are provided not just by primary care clinicians
5 but also by various specialists. This is targeted, as you
6 well know, to primary care in particular.

7 The other thing going on in this history, of
8 course, is the notion of a medical home, which would also
9 include a per beneficiary payment as part of the structure.
10 Back in the same time window, 2008-2009, we recommended that
11 there be pilots of medical. For a variety of reasons, those
12 were delayed for a while. The Affordable Care Act sort of
13 reinstated the legislative authorization for those pilots,
14 and they are underway as we speak. And off the top of my
15 head, I couldn't say when we're going to get definitive
16 results from those, but it's still a ways down the road. So
17 this is something that we thought could be done while
18 medical home pilots are underway and evaluated and all of
19 that that would be simpler to institute.

20 Now, the bonus is an add-on to individual fees,
21 not a per beneficiary per month payment, and the bonus is
22 expiring. So when we first addressed the question about

1 what should we do about the expiration of the bonus, we said
2 should we just repeat the same thing and say it just be
3 extended, or maybe a different form of payment might be a
4 better way to support primary care. And that's what brought
5 us to this point.

6 I think it's safe to say that none of us who have
7 been involved in this have any illusions that, A, this is
8 the perfect way to construct the payment and it's going to
9 be targeted perfectly or, B, that it's going to make all the
10 difference for primary care practices. When we talked about
11 this -- I think it was back in the spring -- there was a
12 very strong point of view that even if this isn't a huge
13 amount of money, it's important to continue it and not allow
14 it to expire and for Medicare to backslide on this issue.

15 So, with that guidance from the Commission, we set
16 about to try to figure out how it might be extended at
17 expiration, which leads us to the point where we are today.

18 You know, the issue in this series that we've
19 discussed least is probably the second bullet. We did talk
20 about funding, as either Kevin or Julie said in the
21 presentation. There was seemingly a lot of interest in
22 using overpriced procedures as the funding source, but then

1 we did have this intervening action by the Congress where
2 they wanted to use some of that money for SGR extensions.
3 And so we need to really consider the funding source in this
4 somewhat altered context.

5 So that's a brief recitation of the history that
6 brings us here. Round 1 clarifying questions?

7 DR. CROSSON: Yes, Kevin. Thank you. Very clear
8 and concise, as always.

9 Could I ask you if you have thought about how the
10 policy would or would not integrate with the care
11 coordination payments, particularly as specified in the CMS
12 rule that you probably haven't had a chance to fully read
13 yet?

14 DR. HAYES: We did anticipate this, and we've got
15 a slide here, which just to begin with would summarize how
16 the chronic care management code works, and then we can
17 speak to your question. Is that okay?

18 Why don't you go ahead.

19 DR. SOMERS: Sure. I'll summarize the slide here.

20 So that's right. Separately, CMS has developed a
21 new code for chronic care management services set to begin
22 with a 2015 fee schedule. The code will be billable by

1 practitioners of any specialty who furnish non-face-to-face
2 chronic care management services to beneficiaries with two
3 or more significant chronic conditions.

4 The beneficiary must provide written consent and
5 will be charged cost sharing.

6 In its final rule, issued last week, CMS proposed
7 a payment rate of \$40.39 for the code, which can be billed
8 no more frequently than once per month per qualified
9 beneficiary.

10 According to its proposed rule, issued in July of
11 this year, CMS is projecting annual allowed charges from the
12 code of \$107 million. That relatively small projected total
13 suggests that CMS is expecting low use of the code.

14 While the Commission has supported this effort,
15 the chronic care management code differs in design from the
16 per-beneficiary payment under consideration today, largely
17 due to different goals of the two initiatives. So,
18 specifically, the per-beneficiary payment would be paid only
19 to primary care practitioners. It would be paid
20 automatically, and beneficiaries would not provide written
21 consent, nor would they pay cost sharing.

22 Do you have something to add, Kevin?

1 DR. HAYES: No, that's good.

2 MR. HACKBARTH: Go ahead.

3 DR. CROSSON: Right. Again, there's a number of
4 moving pieces here. I mean, it is what it is.

5 It did strike me as interesting in looking at what
6 I read, anyway, about the rule that this is a lot more money
7 per beneficiary than what we have proposed in this policy,
8 and yet, as you mention, it's expected to produce, what,
9 about less than 20 percent of the total expenditures by
10 Medicare? So the expectation is, for some reason, that it
11 is not going to be taken advantage of.

12 I don't know what to say about that, except that
13 it strikes me as odd, because I would imagine for this
14 amount of money, per beneficiary per month, it would be a
15 lot of physicians and different specialties interested in
16 pursuing that. So whether that's the right number or not, I
17 don't know.

18 What we would be proposing then would be additive
19 to this. As you point out, because we have a different set
20 of goals here, it's not, per se, about coordination; it's
21 about the fact that we believe that primary care physicians
22 (a) have a particular role in advancing care coordination,

1 but in addition, they are underpaid, right?

2 I don't see a conflict here, per se, except that
3 as we bring this policy forward, the policy recommendation
4 forward, I think we need to be ready to answer people who
5 say, well, you know, we've already done that with this rule.
6 What's different about it? Do you really want to have two?
7 Or more now with the medical home and everything, but do we
8 really want to add yet another one? And so we need to be
9 very thoughtful in communicating the new policy that it in
10 fact is fully justified, perhaps creates slightly different
11 incentives than we have here, so that we don't -- it doesn't
12 end up getting just shelved on the face of it.

13 MR. HACKBARTH: For me on this list, the things I
14 would highlight are billable by any specialty, which I think
15 is a really important distinction. I don't know what the
16 share of the dollars is here that's going to go to
17 subspecialist, but I would think it's a pretty big hunk of
18 that, that money. Is that actually in the CMS proposal?

19 DR. SOMERS: The projections are that most of it
20 will go to the types of primary care specialties, but there
21 are -- for example, cardiologists are another group --

22 MR. HACKBARTH: Right.

1 DR. SOMERS: -- that's projected to bill.

2 MR. HACKBARTH: That's what I would have -- yeah,
3 I would have thought cardiology, endocrinology, a variety of
4 subspecialties might get a lot.

5 But you say that CMS's projection is that most of
6 it goes to primary care?

7 DR. SOMERS: Right.

8 MR. HACKBARTH: The other thing that is different
9 here is that -- of course, this is limited to patients with
10 two or more chronic conditions; whereas, our bonus is for
11 all primary care services.

12 Now, given the fact that so many Medicare
13 beneficiaries have two or more, I, too, was surprised at the
14 price tag here, the magnitude of the payment. That not very
15 restrictive condition on the patients eligible -- I don't
16 know. There seems to be a disconnect for me between that
17 and the price tag, but maybe you have some insight on that,
18 Julie.

19 DR. SOMERS: In the final rule, there was a lot of
20 commentary about the written consent and the pay and cost
21 sharing.

22 MR. HACKBARTH: Yeah.

1 DR. SOMERS: So it would be \$8 cost sharing the
2 practitioner needs to bill each time. CMS doesn't have the
3 authority to have a recurring payment go out to
4 practitioners. So they didn't say it explicitly, but
5 perhaps it's the cost sharing and the fact that --

6 MR. HACKBARTH: So the implicit is patients won't
7 want to do it.

8 DR. SOMERS: Right, right.

9 DR. CHRISTIANSON: But the billing -- [off
10 microphone].

11 DR. NAYLOR: There's also, in the final rule, the
12 explicit practice requirements, which are onerous, meaning
13 may be perceived by practitioners on completing medication
14 reconciliation, making sure there's connection with all
15 other health professionals, so they are very specific, and
16 all of that being documented.

17 You pointed all that out in the terrific report.
18 Those, I think, make this, as the transitional care payment
19 codes, a question about whether people will really use this
20 tool because of pretty substantial practice requirements.

21 DR. CROSSON: Can I just make one point? Let's
22 just take the care of an internist managing a panel of

1 2,000. Let's say on average, 10 percent of those are
2 Medicare beneficiaries. It is probably greater than that.
3 Even for 200 patients times \$40 a month, that's \$8,000 a
4 month or \$100,000 a year.

5 I mean, for many physicians, particularly primary
6 care physicians that are struggling financially, I would
7 imagine a lot of them would overcome these potential
8 barriers in terms of the practice requirements, for that
9 amount of money.

10 MR. HACKBARTH: How does the \$40 compare to what's
11 in the medical home pilot?

12 DR. HAYES: Those medical home pilots span a wide
13 range. I'd be hesitant to try and pin a dollar average on
14 those.

15 Some of them are in this area of \$40, some of them
16 are a bit higher, but some of them are quite a bit lower,
17 too.

18 The thing about those pilots is that they take a
19 variety of forms. They involve -- in a number of cases
20 involve collaborations with the states. There is an
21 expectation that they are multi-payer in nature, and the
22 states are playing a big role in the design of them. So

1 they just cover such a wide range that I'd be reluctant to
2 try to characterize what those are.

3 MR. HACKBARTH: I understand.

4 DR. HOADLEY: Do those have copays, the medical
5 home pilots? Do they have copays associated with those?

6 DR. SOMERS: I don't believe they do for the --

7 DR. HAYES: [Off microphone.]

8 DR. SOMERS: Yeah.

9 MR. HACKBARTH: Okay. So we're in clarifying
10 questions. Who else wants to jump in here? Dave.

11 DR. NERENZ: Just a quick question on the
12 arithmetic here. It looks straightforward. This assumes
13 that every single beneficiary is going to be attributed to a
14 primary care physician. Would that be correct?

15 DR. SOMERS: Not all. There's around 35 million
16 fee-for-service beneficiaries.

17 DR. NERENZ: Okay. That's what I wanted to ask.

18 DR. SOMERS: Okay.

19 DR. NERENZ: So the 21 is the subset of all.

20 DR. SOMERS: Right.

21 DR. NERENZ: And how do you get from the 35 to the
22 21?

1 DR. SOMERS: So those are the beneficiaries that
2 received an eligible primary care service from an eligible
3 primary care practitioner.

4 DR. NERENZ: One service?

5 DR. SOMERS: At least one.

6 DR. NERENZ: Okay, good.

7 DR. NAYLOR: So I think you've answered this
8 before, but remind me. How will nurse practitioners or
9 other qualified health professionals who operate on --

10 MR. HACKBARTH: Incident.

11 DR. NAYLOR: Incident 2. Yes. Sorry. --
12 Incident 2 be eligible for this. They are eligible for the
13 bonuses, but I'm just asking what's the strategy here.

14 DR. HAYES: Well, let's first just kind of get
15 consistent on the issue of what Incident 2 billing involved.
16 So, as most of you know, there are two ways by which
17 services furnished by nurse practitioners can be billed.
18 One way would be if they are practicing independently and
19 have the own provider number and submit a claim and so
20 forth, and they're paid for their services.

21 The other way would be if they are furnishing
22 services and billing for services, Incident 2, the services

1 furnished by a physician. In that case, then the billing
2 occurs under the practice -- under the providing physician's
3 provider number, and so the payment is going back that way.

4 Now, with respect to this payment, we have a
5 question of who would be eligible for the payment, and the
6 way that we are contemplating this, the rules would work in
7 a similar fashion to the way the primary care bonus works,
8 and that we're talking about physicians who are in certain
9 specialty designations.

10 So, in that case, the question would be whether a
11 nurse practitioner billing Incident 2 is billing -- whether
12 her services are being billed by a physician who is in the
13 specialty designation that we have in mind for this
14 particular type of payment, and so there's a potential in
15 that kind of case for a nurse practitioner not billing under
16 his or her provider number to be billing -- having instead
17 their services billed by someone in a specialty not eligible
18 for this payment. That would be a possibility.

19 Otherwise, we anticipate that if a nurse
20 practitioner is practicing independently, they would be one
21 of the specialties that would be eligible for this payment,
22 and for patients attributed to them, they would be receiving

1 the payment.

2 DR. NAYLOR: Just a brief follow-up. Is there
3 potential for coding adjustment that would allow advanced
4 practice nurses, nurse practitioners, and other health
5 professionals who are delivering 100 percent of the primary
6 care services to be eligible for the bonus.

7 DR. HAYES: What it would take from a coding
8 standpoint would be -- as long as there would not be any --
9 well, the only way that I could see for a coding adjustment
10 to occur would be if, say, there were a payment modifier
11 identified on the claim, which said, well, okay, this is a
12 service that's, say, a primary care service, and we're going
13 to put essentially a flag in the claim to indicate that
14 while it was furnished by a nurse practitioner, Incident 2,
15 the service otherwise billed by the physician.

16 MR. HACKBARTH: So, Mary, I just want to be sure I
17 understand the case you are talking about. Say a
18 cardiologist, the physician doing the billing is not
19 eligible for the primary care bonus. A nurse practitioner
20 is doing work, Incident 2, that is primary care work, and
21 you are trying to figure out how the primary care bonus
22 could be paid for the work of that nurse practitioner.

1 DR. NAYLOR: It also exists in primary care
2 practices.

3 MR. HACKBARTH: Okay.

4 Go ahead, Kevin.

5 DR. HAYES: When you say it exists in primary care
6 practices, what do you --

7 DR. MILLER: I think the way I think about it,
8 there's three potential cases that we're talking about here.
9 If the advanced practice nurse has a separate ID, they get
10 it. It's all straightforward. They submit a bill.

11 If the advanced practice nurse is billing Incident
12 2 for a primary care physician who qualifies, then the two
13 of them sort the money out, just like they do now, right?
14 The physician gets paid and has some kind of financial
15 arrangement.

16 The third case is the advanced practice nurses
17 practicing with somebody who doesn't qualify, whether it's a
18 primary care physician or whether it's a cardiologist, and I
19 think that is the case.

20 And I think that almost implicates kind of the
21 basic question. If the person whose billing is not falling
22 into the category, one question is should the --

1 DR. NAYLOR: [Off microphone.]

2 DR. MILLER: Well, no, not reimburse, but should
3 the advanced practice nurse get the bonus, because to his
4 point or to his example, that's a person providing
5 cardiology. Then I guess the question is how would you know
6 for sure that the advanced practice nurse was providing
7 primary care or whether providing something related to
8 cardiology follow-up.

9 Now, I'm not trying to shoot this out of the --
10 but I want --

11 DR. NAYLOR: I don't want to -- honestly, we can
12 talk about it. I fully support this proposal and policy. I
13 just wanted to make sure that attribution, that we had
14 considered all of the people who are delivering primary care
15 services, and so that was --

16 DR. MILLER: And if I could. I just want to be
17 really clear. I'm not trying to blow it out of the water,
18 but I wanted the conversation to kind of zero in on that
19 thing that I think you are trying to talk to each other
20 about.

21 MR. HACKBARTH: So, in Mark's three-part
22 framework, I think the first two cases are straightforward.

1 An advanced practice nurse gets compensated, gets the bonus
2 for primary care work done either directly or through the
3 physician who is doing the billing.

4 For me, the third case is really problematic, and
5 the essence to me of Incident 2 billing is the advanced
6 practice work is Incident 2, the specialist work in that
7 third case, and so it wouldn't qualify for the primary care
8 bonus. But the first two cases, independent practice or
9 Incident 2 practice in primary care, I think are very
10 straightforward. Does that make sense?

11 [No response.]

12 MR. HACKBARTH: Alice and then Jon.

13 DR. COOMBS: So, on Slide 11, I have a number of
14 friends who do both primary care and they practice their
15 specialty, a rheumatologist who does probably somewhere
16 between 60 and 70 percent primary care. Where do they fall
17 out in this diagram?

18 MR. HACKBARTH: Kevin -- [Off microphone.]

19 DR. HAYES: Right.

20 MR. HACKBARTH: [Off microphone.]

21 DR. HAYES: All right. So they would not be in
22 the gray portion at the top of the bar, okay, because they

1 would not be in a specialty that qualifies for the current
2 primary care bonus.

3 DR. COOMBS: But, technically, they are, because
4 they are doing primary care, probably have seen many
5 patients. Their panels are large. If you look at absolute
6 numbers, they might be actually seeing more primary care
7 patients than some internists.

8 DR. HAYES: This is a point that came up when the
9 Commission made its initial recommendation about the primary
10 care bonus in 2008, and the intention, I believe, of the
11 group was that there was a need to address issues of
12 compensation differences among specialties and a need to
13 support primary care. And then it becomes a question of how
14 do you best target those dollars, and within the tools
15 available, it's specialty designation and it's an ability to
16 identify the extent to which a practitioner furnishes
17 primary care as a percentage of their total allowed charges.

18 And so the conclusion reached was that, well, for
19 purposes of what the Commission was trying to do of
20 supporting primary care, that was the best way to do it. It
21 wasn't perfect, but it was viewed as the best way, to go the
22 best way to target the dollars.

1 There are going to be -- and we hear about it all
2 the time in the office, as groups come in to speak with us,
3 about, well, what about neurology, what about rheumatology,
4 whatever the specialty would be, and it becomes a question
5 of, well, where do you put your first dollar, and that was
6 it.

7 MR. HACKBARTH: I would just underline what Kevin
8 said about realizing that this approach, the twin standard
9 of both a specialty and confirmed by a pattern of practice
10 was imperfect, especially the specialty piece of it, but one
11 of the implications of not having a restrictive specialty
12 limit is that then the potential number of qualifying people
13 is greatly expanded, and the amount of money goes up by a
14 lot. And you are almost to the point where you are starting
15 to talk about non-hospital-based E&M services as where the
16 money is going to go, and that's a big, big number.

17 Kathy.

18 MS. BUTO: Yeah, I just wanted to -- Alice was
19 pointing to this particular chart, but this one is about the
20 funding. So as I read it -- and I think this is something
21 we should talk about -- the question is: Where do you get
22 the money to pay the bonus to this designated group of

1 practitioners who are providing, you know, 60 percent
2 primary care? And I think we should talk about it because
3 one option that you've laid out is only E&M services
4 provided by those same primary care practitioners would be
5 exempt from the reduction, even if --

6 DR. COOMBS: If they don't get the bonus [off
7 microphone].

8 MS. BUTO: Even if specialists are providing those
9 kinds of basic primary care services, those services, when
10 provided by a specialist, would be subject to a reduction in
11 order to fund the bonus. And I think that is -- my own
12 perspective is that's not really fair if we want to promote
13 more primary care, if indeed those are the same primary care
14 services, even when they're provided by a specialist.
15 You're not giving the bonus to the specialist, but it's a
16 matter of whether you're reducing their fees in order to pay
17 for the bonus.

18 So I think we should just not get too confused
19 about the two issues, but they are --

20 MR. HACKBARTH: Yeah, and as I said earlier, sort
21 of on a separate track, we believe that in E&M services are
22 undervalued, and we've undertaken a lot of work to try to

1 get E&M services increased in value. And so one argument
2 for excluding the specialty-provided E&M is that it sort of
3 undercuts other things that we've tried to do.

4 DR. MILLER: So can we just put a sharper point on
5 this? Since we are trying to figure out what the
6 recommendation would look like -- and I don't want to put
7 words in your mouth -- you're saying so given the concerns
8 she's raised and the exchange you just had with Glenn, you
9 would fall on the right-hand side of this chart. And I
10 think just to put a fine point on it, that's what Kathy is
11 saying here.

12 DR. CHRISTIANSON: I fall on the right-hand side
13 of the chart, too, but I had a different comment.

14 So I think we need to -- I've said this last time,
15 so you can throw your water glasses at me if you don't want
16 to hear it again. But I think we have to keep in mind in
17 our language in how we talk about this that there are an
18 increasing number of physicians and nurse practitioners
19 working for health care organizations, and especially
20 younger physicians and practitioners. And we don't know --
21 okay. So the bonus does not go to the practitioner. We
22 talk about, oh, the nurse practitioner gets the bonus, or

1 we're doing this to improve primary care. We don't know.
2 The bonus goes to organizations that have people work for
3 them that bill on our primary care codes.

4 So when the organization gets this new revenue,
5 it's new revenue, and they should do whatever they think is
6 best for the organization in how that revenue is spent.
7 Now, if it's to pass it on to the primary care physicians
8 and change their compensation schedule, great. They don't
9 have to do that. They don't have to invest in primary care.
10 If the best thing for the organization is to buy that piece
11 of equipment that will generate more revenue and keep it
12 afloat, they can do that with the money. So we're not tying
13 it to any kind of practice requirement.

14 So my only point is, as we write this up -- and
15 there are several places in the chapter and a couple places
16 in the presentation where the implication was if we had this
17 bonus payment, somehow it just sort of directly flows
18 through to the primary care physician or the nurse
19 practitioner and they are better off and it's going to be
20 invested in primary care. That may occur. As Glenn tells
21 me and reminds me, there are a lot of places where there are
22 one or two or three physicians practices, and that would be

1 the case. But we need to keep in mind that there are other
2 models of care where that's not the case, and so let's not
3 write this up in a way that we appear too naive about, you
4 know, what's going to happen with this bonus payment. We
5 can't guarantee anything for when large organizations get
6 this bonus payment. Okay?

7 MR. HACKBARTH: And I concur with Jon's point.
8 We've talked about this a number of times. The only thing I
9 would say in addition is that when you're running a multi-
10 specialty group, and if you have the objective of improving
11 payment for primary care relative to some of the
12 subspecialties, and you get bonus dollars, that makes it
13 easier to narrow that gap. Otherwise, you're saying I've
14 got to tax the subspecialist for whatever I give to primary
15 care, and so a new -- an increase in primary care payment
16 could ease some of the internal dynamics that I've suffered
17 with within multi-specialty practices.

18 DR. CHRISTIANSON: And I support the
19 recommendation, don't get me wrong. It's just when we talk
20 about it, let's not -- let's be careful how we talk about
21 it.

22 MR. HACKBARTH: I agree with your point Jon. So

1 we're still on clarifying questions, it seems.

2 [Laughter.]

3 MR. HACKBARTH: Somewhere I think we crossed the
4 border into Number 2 land. But any final clarifying
5 questions?

6 [No response.]

7 MR. HACKBARTH: I am going to reserve a few
8 minutes at the end to sort of -- put up the final slide,
9 Julie, with the elements and sort of walk through those and
10 do a straw poll, no final commitments, on what people think.
11 But before I do that, I want to give an opportunity for
12 other Round 2 comments.

13 DR. COOMBS: So with the last discussion, what
14 Kathy said, one of the considerations is if you do number
15 two, is it possible to do what we just proposed in terms of
16 looking -- you could actually look at the size of the panel
17 and then go from there, because some of the panels might be
18 -- in this particular situation, my colleague's panel is
19 probably close to 2,000, she's burning the candle at both
20 ends. And like I said, if you took out the primary care
21 patients in her panel, you would say, wow, she's taking care
22 of more primary care patients than the average internist in

1 the area. And is there some sort of way that we could -- I
2 don't know -- not necessarily give the bonus but, again,
3 keep them from getting the decrease as an overall impact?
4 And maybe there's something that could be done in terms of
5 the absolute number of patients, because I think that's
6 another issue, too. You can have a very teeny-weeny
7 practice where someone sees, you know, 500 patients and they
8 are seeing a very small number of patients to start with.
9 So it's not like they're a major work horse in the area of
10 primary care, but I think if someone is doing some sort of
11 measure of considerable primary care work, there ought to be
12 a way in which we can encourage them and incentivize them to
13 continue doing that work.

14 MR. HACKBARTH: I'm sorry, Alice. My mind was
15 focused on process steps. I sort of missed the first part.
16 I apologize.

17 DR. MILLER: I can pick it up. In some ways, I
18 thought that your exchange with Kathy and what I was trying
19 to point to is if you can give me the 1114, I think this can
20 in some ways give you some rough justice if you end up on
21 the right-hand side of that -- okay. Right.

22 MR. HACKBARTH: Okay.

1 DR. HALL: This is for Round 2?

2 MR. HACKBARTH: Yep. Go.

3 DR. HALL: Jon, you mentioned that what you see at
4 the additional bonus money could go into a practice and be
5 used for whatever the practice deemed was an important use
6 of the money. So how does this enhance the delivery of
7 primary care? One of the things we talked about in the
8 description here is that one of the barriers in the salary
9 differentials between current primary care providers and
10 specialists.

11 So I'm a cardiologist, and I want to do some of
12 these services, and I want to invest in a new technology for
13 imaging or something. The service is probably provided in a
14 competent way, but it certainly doesn't promote a career in
15 primary care. It seems that we're talking out of both ends
16 of --

17 DR. CHRISTIANSON: Well, Glenn argues two things.
18 One is there are lots of practices that are small, small
19 primary care practices, and they will get the bonus, and
20 that should directly improve their practice. Also, he's
21 saying that it gives some more flexibility to people around
22 large organizations when they have money coming in that says

1 this is for primary care to actually use it for primary
2 care.

3 I think the salaries that are paid in
4 organizations are pretty much market driven. It's what you
5 have to pay to get this specialist or that specialist. So I
6 don't think this is necessarily going to change that in any
7 way.

8 MR. HACKBARTH: I would add -- and there are
9 people around the table better qualified to talk to this
10 than I am, but making primary care more attractive is, yes,
11 in part a function of salary and income. But it also can
12 include practice supports and, you know, more medical
13 assistants or higher-quality medical assistants that are
14 better trained that make the daily work life better. And so
15 that multi-specialty practice that has more income, it may
16 not give it in primary care salaries, but it may spend it on
17 other things that could help primary care.

18 The bottom line is when it goes into the multi-
19 specialty practice, there is no guarantee on how it's going
20 to be used.

21 DR. HALL: Right.

22 MR. HACKBARTH: And that's the point on which Jon

1 and I completely agree. But, you know, that's sort of the
2 state of the world.

3 DR. CHRISTIANSON: That's where we are. We're not
4 willing to say here are practice requirements, if you meet
5 them you get the money. So since we can't say that, we
6 can't know what we're getting for the money.

7 MR. HACKBARTH: Yeah. And the reason that we
8 didn't go so far as to attach practice requirements is the
9 amount of money is relatively small. And, in fact, Mary was
10 pointing out, even with 40 bucks per month, it's easy to get
11 to the point where people say, you know, the requirements
12 are just too onerous to make it worthwhile.

13 DR. CHRISTIANSON: And we're not quite sure what
14 those requirements would be, even if we thought the money
15 was large enough at this point.

16 DR. HALL: So is that compatible with what we
17 said, that the practices that are eligible for this have to
18 have -- what was it? -- 60 percent of their billings in
19 primary care services?

20 MR. HACKBARTH: Right [off microphone].

21 DR. HALL: But how do those two come together?
22 That's what --

1 MR. HACKBARTH: There's a specialty test. You
2 need to be in one of the designated specialties.

3 DR. HALL: Right.

4 MR. HACKBARTH: And then 60 percent of the
5 billings need to be for primary care services, as --

6 DR. HALL: So I'm in my cardiology practice, but
7 I'm, say, doing 20 percent.

8 MR. HACKBARTH: Cardiology, you don't make the
9 specialty --

10 DR. HALL: You don't the specialty cuts. Okay,
11 yeah, got it.

12 MR. ARMSTRONG: So I just would reinforce the
13 point of view we've taken on this topic quite a few times in
14 the four-plus years I've been on the Commission and support
15 this. I do believe -- and, by the way, I like the right-
16 hand side of the funding source for many of the arguments
17 people were just making.

18 I do believe part of what we're doing here is
19 responding to the fact that this bonus program is expiring
20 rather than, you know, what I hope will help us as we go
21 forward, and that is kind of reconnecting on so what's
22 really the primary care goal that we're trying to solve to

1 or problem we're trying to address, and that when we go
2 through the rest of our schedule this year and access to
3 primary care and some of those things will create some of
4 that context for us. I think it will affirm that this is a
5 good step, but generally speaking, I think while a good
6 step, probably not sufficient to achieve some of the broader
7 goals that we're really talking about.

8 MR. HACKBARTH: That raises an interesting idea.
9 My thinking about this has been it's a stopgap, and let's
10 say for the sake of argument that it turns out that the
11 results from the medical home pilots are very good, and the
12 Secretary makes the decision to implement nationwide the
13 medical home pilot. Then I think that this, you know -- you
14 may want to say, okay, it's time to scrap the imperfect
15 bonus, we've got a more robust system in place for
16 supporting the development of primary care. And maybe we
17 want to include in our recommendation language that says,
18 you know, that's what this is about, and you know, pending
19 results from medical home pilots, you know, this is a
20 stopgap, and we don't envision it existing in perpetuity
21 necessarily. That may make our thinking about this clearer.

22 And then medical home pilots, you do have a very

1 specific list of requirements, here's what you got to do to
2 get the money, et cetera.

3 DR. SAMITT: I think my comments are very similar
4 to Scott's. I see this as a positive change, although it's
5 kind of a first generation change. It's a step, but it's a
6 very small baby step. As we've discussed in many prior
7 meetings, we've talked about the imperative to, A, pay
8 primary care providers more and, B, pay primary care
9 providers differently. This does that a little bit, but not
10 enough to really drive the necessary transformation to a
11 value-based model of care. But it's certainly better than
12 the alternative, which would be to not renew the bonus
13 payment at all, which just moves us in the opposite
14 direction.

15 So I certainly would endorse it, but I think we
16 need to quickly get to the next generation, which would be
17 to think about, you know, do we begin to think about, you
18 know, especially for primary care groups that want it, a
19 full-scale PMPM reimbursement option for primary care to
20 replace an RVU-based model, to say we're going to be
21 accountable and at risk for population health reimbursement
22 just for primary care, which is more than just -- this

1 amounts to about 2 percent of any primary care provider's
2 salary. Is that sufficient incentive to change practice
3 patterns? I would argue no. So it needs to be a whole lot
4 bigger and better than that in the next generation.

5 MR. HACKBARTH: I agree with all of that, though,
6 you know, I know that I sometimes lose sight of the fact
7 that we're talking about a small amount here, but it's only
8 one payer for a subset of patients. And to the extent that
9 other payers are also increasing their payments for primary
10 care, the aggregate across the full 2,000-patient panel, the
11 aggregate dollar effect could be quite a bit more.

12 DR. HOADLEY: Just a quick follow-up to your
13 previous comment. The only thing I would worry about with
14 the way that sort of caveat would be phrased is that we
15 don't sort of open up the door in terms of the way this is
16 read to say we want this to be a temporary change. I mean,
17 we're dealing now with the expiration of a previous thing,
18 and Congress may well want to make it temporary for scoring
19 reasons or whatever, but we should -- I would think we
20 should say, you know, we see this even though all those
21 other things you said, you know, could be true later.

22 MR. HACKBARTH: What I'd like to do is switch

1 gears in our last ten minutes and go through that final
2 slide and the four basic elements and get a sense of where
3 people are.

4 Based on the discussion to this point, I think the
5 second bullet is probably the one that we really need to
6 focus on, but let me just go through them one by one.

7 So the first element is continue the bonus at the
8 current dollar level. Let me just ask, is there anybody
9 who's really uncomfortable with that as part of a final
10 recommendation?

11 [No response.]

12 MR. HACKBARTH: Now, the second issue, and why
13 don't you put up the other graph, Kevin? And this is about
14 the funding. So a number of people have said, led by Alice,
15 that they prefer funding this bonus without going into E&M
16 services provided by specialty physicians. The right hand.
17 So that would mean a 1.4 percent reduction in the conversion
18 factor. Let me see a show of hands of people who favor
19 exempting the specialty E&M from the cut. So I see Warner
20 and Craig. Do you want to speak to it?

21 Again, let me emphasize that these aren't final
22 votes, and nobody's going to be held to this. I'm just

1 trying to figure out how to formulate the draft
2 recommendation. Warner, what are your thoughts?

3 MR. THOMAS: Yeah, my question is just what is the
4 -- do we understand the materiality, you know, for
5 specialists? It's just hard to understand that. And once
6 again, this is the first time I've been in the discussion.
7 I know it's been several times with the Commission.

8 DR. SAMITT: And to tag onto that, my question is:
9 To what degree have we studied what percentage of
10 specialists are providing primary care? And would a better
11 alternative be that the prospective attribution methodology
12 is much more similar to the ACO methodology, to say that
13 some of these bonuses could be attributed to specialists if
14 they were the primary primary care provider for any
15 particular beneficiary? So the question is: Which is more
16 material? Would we include certain specialists in the
17 attribution? Or should we actually only focus on the 1.4
18 percent as the alternative? So I'm torn without more
19 information as to which would be preferred.

20 MR. HACKBARTH: And how would you determine the
21 specialists who are doing primary care?

22 DR. SAMITT: The ACO experts may be able to

1 comment, but there is an attribution methodology, right,
2 that first starts with primary care and then cascades to
3 specialists if there isn't a primacy of visits.

4 DR. MILLER: The awkwardness of that is when we
5 commented on the ACO rules, we sort of said you should do
6 away with that because it created some complications I'm
7 going to pass over for the moment, but I'll go through them
8 if everybody has the stomach. And we said ACOs should be
9 allowed to designate certain specialties even those their
10 specialist is providing primary care, like your
11 cardiologist, like your endocrinologist, that type of thing,
12 and the difference being that there's an entity there who
13 says this is the group of people I'd like you to count
14 because they're in the club. Here you'd be out in fee-for-
15 service land trying to do something like that.

16 DR. SAMITT: Well, if that goes against the grain
17 of what we've recommended previously, then I'm with the rest
18 of the crowd in terms of focusing on the 1.4 instead of the
19 1.1.

20 MR. HACKBARTH: Okay. I'm going to press ahead
21 because we are almost out of time here. Could you put up
22 the last slide again?

1 And so the third bullet I won't read. You can
2 read it for yourselves. But this is the prospective
3 attribution, recognizing that there, in fact, will be some
4 changes and some patients that formerly received primary
5 care from A will move on to B and some of that from B will
6 move to A. So it's not perfect, but we thought that the
7 benefits of prospective attribution outweighed the harm of
8 having some churning. People comfortable with that?

9 And then, finally -- we touched on this a minute
10 ago -- we opted -- and this was really a discussion back in
11 the spring -- not to attach lots of practice requirements
12 because we didn't think that the payment was high enough to
13 carry a lot of additional burden. People okay with that
14 judgment?

15 DR. MILLER: And then I hear you saying perhaps
16 try and work something into the recommendation, recognizing
17 what you said, that, you know, in an ideal world, if we have
18 evidence and all the rest of it, and we'll try and figure
19 out how to work around that without implicating what you
20 said.

21 MR. HACKBARTH: Are we close to there? So we'll
22 put together a draft recommendation for discussion next

1 month and hopefully move one step closer to finishing this.

2 Thanks, Julie and Kevin. Good work.

3 Our last item now is 340B drug pricing program.

4 [Pause.]

5 MR. HACKBARTH: So let me just say a word of

6 introduction about this topic.

7 The 340B drug pricing program is, of course, not
8 part of the Medicare program. And we've been asked to do
9 some work on this and sort of package some information, do
10 some descriptive fact-finding work, by the committees that
11 have jurisdiction over Medicare, the committees that we
12 regularly work with, recognizing that it is itself not a
13 Medicare program.

14 And so we will be making no recommendations
15 related to 340B. This is more descriptive work to assist
16 those committees.

17 With that, Ariel.

18 MR. WINTER: Good afternoon.

19 Here's the outline for our presentation today.

20 We'll start by talking about some background on the 340B
21 program. We'll discuss how it has grown substantially in
22 recent years. We'll then go over some issues with the 340B

1 statute and describe concerns with HRSA's oversight of the
2 program. And we'll conclude by summarizing the current
3 debate over the scope of the program.

4 The 340B program allows certain hospitals and
5 other health care providers, known as covered entities, to
6 obtain discounted prices on covered outpatient drugs from
7 manufacturers.

8 Covered outpatient drugs include prescription
9 drugs and biologicals other than vaccines.

10 Manufacturers must offer 340B discounts to covered
11 entities in order to have their drugs covered under state
12 Medicaid programs.

13 The discounts available through the program for
14 outpatient drugs are substantial. Savings range from 25 to
15 50 percent of a drug's average wholesale price.

16 These discounts apply to drugs used for uninsured
17 patients, patients with Medicare and commercial insurance
18 and, in some cases, Medicaid patients.

19 The program is managed by the Health Resources and
20 Services Administration.

21 Although the program is not part of Medicare, as
22 Glenn was saying, there may be implications for Medicare,

1 which we'll touch on during this discussion.

2 This table lists the types of providers that are
3 eligible to participate in 340B according to the statute,
4 and in a few more slides down, we'll be showing you some of
5 the numbers of providers for each of these categories.

6 So the first row refers to clinics that receive
7 federal grants from HHS, such as Federally Qualified Health
8 Centers.

9 Several types of hospitals are also eligible, and
10 we'll spend the bulk of the presentation focusing on
11 hospitals.

12 The two biggest hospital categories are
13 disproportionate share hospitals, which have a DSH
14 percentage greater than 11.75, and critical access
15 hospitals, which do not have a DSH requirement.

16 Other types of eligible hospitals include
17 freestanding cancer hospitals, children's hospitals and
18 rural referral centers.

19 To be eligible, hospitals must be owned by a state
20 or local government, or be a public or nonprofit hospital
21 that is formally delegated governmental powers by a state or
22 local government, or be a nonprofit hospital under contract

1 with a state or local government to provide services to low-
2 income patients who are not eligible for Medicare or
3 Medicaid.

4 Medicare pays for 340B drugs provided by covered
5 entities to beneficiaries.

6 Part B pays hospitals for outpatient drugs that
7 are provided incident to a physician service, such as
8 infusion drugs used to treat cancer and rheumatoid
9 arthritis.

10 Under the outpatient PPS, Medicare pays the same
11 rates for drugs to 340B and non-340B hospitals even though
12 340B hospitals can buy outpatient drugs at a steep discount.

13 Part D plans may also pay for 340B drugs that are
14 covered under Part D when they're provided to patients of a
15 covered entity.

16 And Dan will talk now about the growth of the 340B
17 program.

18 MR. ZABINSKI: One reason the 340B program has
19 become a point of interest is that it's been growing
20 rapidly.

21 Over the 2005 to 2014 period, the number of sites
22 providing 340B drugs increased by 9.6 percent per year, and

1 the number of participating hospital organizations increased
2 by 15.5 percent per year.

3 Also, spending by 340B providers to purchase drugs
4 increased by 14.7 percent per year over 2005 through 2013,
5 and Medicare spending at 340B DSH hospitals for drugs
6 covered under Part B of Medicare increased by 22.6 percent
7 per year from 2004 to 2013.

8 On this slide, we show the growth in the number of
9 sites that provide 340B drugs.

10 Hospitals can, and often do, have multiple sites.
11 For example, a hospital with five affiliates would count as
12 six sites. For hospitals, sites can be the hospital itself,
13 clinics and physicians' offices that have been purchased and
14 converted to hospital-based clinics.

15 We break sites into hospitals and their
16 affiliates, which are the yellow parts of the bars in the
17 diagram, and all other entities and their affiliated sites
18 that are the green parts of the bar.

19 The number of total sites increased from about
20 12,000 in 2005 to about 28,000 in 2014.

21 We see especially strong growth over the 2010 to
22 2014 period. Some of the growth over that period is due to

1 a change that HRSA made in 2012 about hospitals having to
2 register all off-site facilities that purchase and/or
3 provide 340B drugs, but we can't tell exactly how much of
4 the growth is due to that rule change.

5 And, as you can see, much of the growth in the
6 number of sites is due to the growth in the number of
7 hospital sites. In 2005, hospital sites accounted for just
8 11 percent of all sites while, in 2014, hospital sites were
9 about half of all sites.

10 Because of the rule change in 2012 that requires
11 hospitals to register all off-site facilities makes it
12 unclear how much of the reported site growth is due to
13 actual site growth, here we examine the change in the number
14 of unique hospital organizations participating in 340B,
15 where a hospital organization is a hospital with its
16 affiliated sites counted as 1.

17 This chart shows that the number of hospital
18 organizations grew strongly by 18.5 percent per year from
19 2005 to 2010 and by 11.9 percent per year from 2010 to 2014.

20 The growth from 2005 to 2010 was largely from DSH
21 hospitals, which increased the number from 583 to 1,001.

22 In contrast, the growth from 2010 to 2014 was

1 largely in CAHs and other hospitals that became eligible in
2 2010 through the Affordable Care Act. Over this period, the
3 number of DSH hospitals actually declined slightly.

4 And currently, about 45 percent of Medicare acute
5 care hospitals are in the 340B program.

6 In addition to strong growth in the number of 340B
7 hospital organizations, the amount hospitals spend to obtain
8 drugs has increased. Among DSH hospitals in the 340B
9 program, the amount they spent to obtain 340B drugs
10 increased from \$2.4 billion in 2005 to \$7.1 billion in 2013.

11 One thing we don't want you to confuse is that
12 these numbers are not what Medicare or other payers are
13 spending to cover these drugs.

14 These numbers indicate how much hospitals are
15 spending to obtain drugs for both Medicare and non-Medicare
16 patients.

17 And then to give you an idea of how the 340B
18 program is growing within the Medicare program, we compared
19 how Medicare spending on Part B drugs in the outpatient PPS
20 has grown for 340B DSH hospitals to how it has grown for all
21 hospitals. In this case, Medicare spending means what the
22 program paid plus beneficiaries' cost-sharing.

1 Among the 340B DSH hospitals, Medicare's spending
2 on separately paid drugs in the outpatient PPS increased
3 from \$0.5 billion in 2004 to \$3.4 billion in 2013, which is
4 an increase of 22.6 percent per year.

5 Among all hospitals, Medicare spending increased
6 from \$2.5 billion in 2004 to \$7.2 billion in 2013, an
7 increase of 12.7 percent per year.

8 And although 340B DSH hospitals are 20 percent of
9 Medicare acute care hospitals, they account for 46 percent
10 of Medicare spending on Part B drugs that goes to all
11 hospitals, which is up from 22 percent in 2004.

12 And now Ariel will discuss the 340B statute and
13 related issues.

14 MR. WINTER: The 340B statute does not set clear
15 parameters around the program, which has played a role in
16 its rapid growth and has made it difficult for HRSA to
17 manage it.

18 As an example, covered entities are only allowed
19 to provide 340B drugs to individuals who are patients of the
20 entity, but the statute does not define who is considered a
21 patient of the entity.

22 As a result, HRSA has struggled to establish a

1 clear definition of this term, which makes it possible for
2 covered entities to interpret it broadly.

3 In terms of 340B hospitals, HRSA's definition
4 currently states that an eligible patient is an individual
5 with whom the hospital has a relationship, which means that
6 the hospital maintains the individual's health care records
7 and the individual must receive health care services from a
8 health care professional who is employed by the hospital or
9 who provides care under contractual or other arrangements,
10 e.g., referral for consultation, such that responsibility
11 for the individual's care remains with the hospital.

12 HRSA has not clarified the meaning of other
13 arrangements or responsibility for the individual's care.

14 And HRSA has expressed concern that some covered
15 entities may be including individuals seen by providers who
16 only have a loose affiliation with the entity, and thus, the
17 entity does not have actual responsibility for their care.

18 The statute has broad criteria for hospitals to
19 qualify for the program, which has enabled many hospitals to
20 participate.

21 In 2012, 65 percent of hospitals paid under the
22 inpatient PPS had a DSH percentage greater than 11.75 and

1 were government-owned or nonprofit. This means that they
2 can qualify for 340B if they were formally delegated
3 governmental powers by a state or local government, or if
4 they had a contract with a state or local government to
5 provide services to low-income patients who are not eligible
6 for Medicare or Medicaid.

7 In the case of a hospital that has a contract with
8 a state or local government to provide care to low-income
9 patients, the statute does not specify the amount of care
10 that must be provided. Thus, hospitals with contracts to
11 provide a relatively small amount of care to low-income
12 patients could be eligible for 340B.

13 In addition, the statute does not require CAHs to
14 have a minimum DSH percentage to qualify for 340B. Ninety-
15 four percent of CAHs are government-owned or nonprofit,
16 which means they're potentially eligible to participate in
17 the program.

18 Hospitals and other covered entities can purchase
19 340B drugs for all eligible patients, including those
20 covered by Medicare and commercial insurance, and generate
21 revenue if the payments they receive for the drugs exceed
22 the discounted prices they pay for the drugs.

1 Because the 340B statute does not restrict how
2 revenue generated through the program can be used, hospitals
3 can use the revenue for any purpose, such as expanding the
4 number of patients served, increasing the scope of services,
5 investing in capital or covering administrative costs.

6 GAO and OIG have raised concerns about HRSA's
7 oversight of the program. They have questioned HRSA's
8 ability to verify that covered entities and manufacturers
9 are complying with program rules. They've noted that it's
10 difficult to enforce the rules when key terms, such as
11 eligible patient, are unclear.

12 HRSA primarily relies on participants in the
13 program to ensure their own compliance.

14 In 2012, HRSA began auditing a small number of
15 providers but has not yet audited manufacturers to ensure
16 that they're selling 340B drugs at the discounted prices.

17 HRSA has been working on a proposed rule to
18 address several issues in the program, such as the
19 definition of an eligible patient, but the proposal has not
20 yet been released.

21 We note that it may be challenging for HRSA to
22 develop more specific guidelines when the statute itself is

1 vague about important parts of the program.

2 Another important issue is the use of outside
3 pharmacies to provide 340B drugs.

4 HRSA allows covered entities to provide 340B drugs
5 through in-house pharmacies and also to contract with
6 outside pharmacies to dispense these drugs. According to
7 HRSA, 82 percent of entities dispense 340B drugs through an
8 in-house pharmacy and 18 percent use outside contract
9 pharmacies.

10 Since HRSA began allowing entities to use multiple
11 contract pharmacies in 2010, the number of contract pharmacy
12 arrangements has grown rapidly.

13 HRSA's audits and an OIG study have identified
14 concerns with the use of contract pharmacies.

15 HRSA found that some contract pharmacy
16 arrangements provided 340B drugs to individual who are not
17 patients of the entity.

18 OIG found that there was a lack of consistency in
19 how entities identify eligible patients for their contract
20 pharmacies, which leads some entities to identify more
21 patients as eligible than others.

22 There is a debate between drug manufacturers and

1 340B hospitals over the proper scope of the program.

2 Manufacturers have urged policymakers to
3 reconsider the eligibility criteria for hospitals and to
4 limit the use of contract pharmacy arrangements. They argue
5 that the program should be focused on helping patients who
6 are poor and uninsured to gain access to outpatient drugs.

7 On the other hand, 340B hospitals seek to preserve
8 the current rules for hospital eligibility and hospitals'
9 ability to use revenue generated through the program for any
10 purpose. They argue that the program is essential for
11 maintaining their services and their mission.

12 To support their position, 340B hospitals cite the
13 following language from the conference report that
14 accompanied the 340B legislation, which reads: "The
15 Committee intends to enable these entities to stretch scarce
16 Federal resources as far as possible, reaching more eligible
17 patients and providing more comprehensive services."

18 As we mentioned earlier, under the outpatient PPS,
19 Medicare pays the same rates for Part B drugs to 340B
20 hospitals and non-340B hospitals even though 340B hospitals
21 are able to purchase outpatient drugs at significant
22 discounts.

1 An issue the Commission could discuss in the
2 future is whether Medicare beneficiaries should pay less for
3 outpatient drugs provided by 340B hospitals. This would
4 save money for the program and beneficiaries, but it would
5 reduce the revenue that hospitals could generate from the
6 340B program.

7 The OIG is currently researching this option and
8 expects to issue a report in FY 2015.

9 We could look at this idea in our future work, but
10 we are not prepared to discuss it today.

11 So, to conclude, here are some questions for your
12 discussion: Is there anything that we can clarify of what
13 we presented today, and is there additional information
14 you'd like to see reflected in the paper?

15 Thank you.

16 MR. HACKBARTH: Okay. Thank you very much.

17 Could you put up -- I think it's slide seven,
18 yeah.

19 DR. MILLER: The hospital one or the sites?

20 MR. HACKBARTH: Yeah, the sites one. I think it's
21 seven.

22 When I read the chapter, it's Figure 1 in the

1 chapter. And I thought it matched up with one of the
2 slides, but I'm not sure that it does.

3 Yeah, it does. It matches this one.

4 So, if I read the note correctly in the chapter,
5 the way the count is done is different in 2010-2014 because
6 HRSA changed the rules on how you report in 2012.

7 And, if I understand it correctly, in 2010, if
8 there was a hospital that had no affiliated sites -- it was
9 just the hospital -- that counted as 1. If there was a
10 hospital that had several affiliated sites, it still counted
11 as 1 in 2010.

12 But now after a change in the counting rules in
13 2012, in '14, it counts all the affiliates -- affiliated
14 sites; each count separately.

15 And so we've got sort of an apples and oranges
16 comparison here that distorts, potentially, the growth rate.

17 Do I understand the note correctly?

18 MR. WINTER: So, prior to 2012, hospitals were not
19 required to register all of their -- each of their off-site
20 facilities that purchased or used 340B drugs.

21 But they might have been doing so, and we don't
22 know. To some extent, they might have been doing so prior

1 to 2012 when the rules were clarified.

2 So it's unclear whether your statement is --
3 reflects what was happening, but we can check with HRSA
4 about that.

5 MR. HACKBARTH: Yeah.

6 MR. ZABINSKI: And I'll add that looking at the
7 data and the list of registered sites that hospitals were
8 definitely, to some extent, recording their off-site
9 facilities, but they weren't necessarily recording all of
10 them.

11 MR. HACKBARTH: Yeah. Well, it's not a big point.

12 But to the extent that part of the issue here has
13 to do with how rapidly this has grown, if, in fact, we're
14 comparing numbers that aren't really the same in this site
15 count, it may not be shedding light.

16 The dollar count seems more relevant than the site
17 count, and it may be good just to focus on the rapid growth
18 in dollars as opposed to site counts that are really not the
19 same.

20 So that's just my thought.

21 Clarifying questions?

22 Kate.

1 DR. BAICKER: Just following up on that discussion
2 about the dollar amounts, there's a figure in the readings
3 that shows Medicare spending on Part B drugs at these
4 entities, and there's some discussion about the average
5 discount off of AWP.

6 I may have just missed it, but do we know the
7 excess?

8 How much less are the 340B hospitals and entities
9 paying for the drugs than Medicare is paying them, in the
10 aggregate?

11 DR. ZABINSKI: Yeah. Let's see. If you compare
12 non-340B to 340B -- just because of the limitations on the
13 day we're working with, this is a little bit of a gray area
14 -- maybe 13 percent, in that territory.

15 DR. BAICKER: So they are taking in 13 percent
16 more than they are paying in payment.

17 DR. ZABINSKI: Well, I would say --

18 DR. BAICKER: So Medicare payments to them are 13
19 percent more than their payment for the drugs, 340B
20 entities, and how does that compare to non-340B?

21 DR. ZABINSKI: That's what I'm saying. I'm
22 comparing the 340B to non-340B.

1 MR. WINTER: Their costs are lower.

2 DR. ZABINSKI: Yeah. Because the costs are lower
3 -- you know, the payments are the same for both types of
4 hospitals. The costs are lower for the 340B, and relative
5 to 340B, relative to non-340B, you've got about a 13 percent
6 advantage.

7 MR. WINTER: We had to estimate that, because we
8 don't know the actual acquisition cost for the drug at each
9 hospital. That's information we don't have.

10 And the discounted, so-called "ceiling prices,"
11 which are the prices that manufacturers have to offer,
12 that's proprietary information. HSRA maintains that, and
13 it's available to covered entities, but not to the public
14 and not to us.

15 DR. BAICKER: So you're estimating on both -- for
16 the 13 percent delta between the 340B and the non-340B, you
17 are estimating both of those numbers, the 340B number and
18 the non-340B number --

19 DR. ZABINSKI: Correct.

20 DR. BAICKER: -- and therefore the difference?

21 DR. ZABINSKI: Correct.

22 DR. BAICKER: So that is 13 percent difference,

1 and then how does that compare to what Medicare is paying?

2 DR. ZABINSKI: Well, okay. Should I proceed on
3 that, Mark?

4 DR. BAICKER: Am I asking --

5 DR. MILLER: I'll take it.

6 DR. BAICKER: [Off microphone.]

7 DR. MILLER: What I hear, what we're trying to say
8 is the payments in 340B and non-340B are the same. So to
9 approximate -- because we don't know exactly the discount
10 and what they purchase the drug for. They are looking at
11 the cost reported to those drugs for the 340B and finding
12 that they are 13 percent lower.

13 DR. BAICKER: So then how does that -- [Off
14 microphone].

15 DR. MILLER: Paying the same on both sides. All
16 right.

17 DR. BAICKER: So we're paying the same. They're
18 buying for a price that is 13 percent different from each
19 other, but how does that compare to what we are paying? Say
20 we pay 100 bucks. They are buying it for something and
21 1.13-something. What's the something?

22 DR. MILLER: I have a rule about doing math out

1 loud with about 100 people in the room.

2 [Laughter.]

3 DR. MILLER: Unless you have actually done this
4 calculation, this we'll take back as an additional
5 information point to run through.

6 Have you done this calculation?

7 DR. ZABINSKI: Yes.

8 DR. MILLER: Okay.

9 DR. ZABINSKI: Okay. We'll do a payment-to-cost
10 ratio. It is about 1.13 for the non-340B and about even-1
11 for -- let me try that again. 1.13 for the 340B and about a
12 1 for the non-340B.

13 MR. HACKBARTH: So can you tell me again, Dan, how
14 you're getting the cost in this when we don't know the cost
15 for the others?

16 DR. ZABINSKI: Let's see. Well, we have the
17 charges on the claims multiplied by a cost-to-charge ratio
18 from the cost reports that matches to the revenue center on
19 the claims.

20 MR. HACKBARTH: Yeah. I don't know enough --

21 DR. BAICKER: I would love to hear this in the
22 future. I just want to know how much money they are making

1 on this.

2 MR. HACKBARTH: You just cause so much trouble
3 here, Kate.

4 DR. MILLER: Yeah. Let's go ahead, and we will go
5 through our methods and then our language, and we'll come
6 back to these folks.

7 DR. CROSSON: Can I just compound it?

8 MR. HACKBARTH: Sure.

9 [Laughter.]

10 DR. CROSSON: I am obviously missing something
11 here. If you go back to Slides 9 and 10 -- what? Yeah,
12 right.

13 MS. BUTO: Yeah, that's the one.

14 DR. CROSSON: So Slide No. 9 says that in 2013,
15 the 340B providers were spending 7.1 billion.

16 MR. BUTO: Or Medicare was spending. Is that
17 Medicare?

18 DR. CROSSON: No. It says by providers, and the
19 next slide, it says -- and I assume this is all 340B-
20 eligible hospitals.

21 MR. WINTER: DSH.

22 DR. CROSSON: Or DSH.

1 MR. BUTO: Or is it all hospitals --

2 DR. CROSSON: No, no. The second line, where it
3 says all hospitals. In 2013, Medicare was spending 7.2
4 billion, which is almost the same number. So what am I --
5 I'm missing something.

6 DR. ZABINSKI: Okay. Go back to 9, 9 is all
7 patients, Medicare, non-Medicare.

8 DR. CROSSON: Ah, ah, ah.

9 DR. ZABINSKI: 10 is Medicare only.

10 MR. WINTER: A little more distinction is Slide 9
11 includes all covered entities; that is, hospitals and FQHCs
12 and other grantee clinics. It's not just hospitals;
13 whereas, Slide 10 is just hospitals.

14 DR. CROSSON: See, I simplified it.

15 DR. MILLER: Certainly, a clarification will carry
16 into the paper.

17 DR. REDBERG: I just want to make sure I
18 understand. They don't release the prices of what they're
19 actually paying because they're not allowed to? So Medicare
20 pays the 340B hospitals' set price, but Medicare is not
21 allowed to know what the hospitals paid for the drug?

22 MR. WINTER: I'm not sure about the latter.

1 The information about what the hospitals pay, it's
2 not publicly available. I don't know if CMS has legal
3 authority to get the data on the actual acquisition cost --

4 DR. REDBERG: Jack is saying it doesn't.

5 DR. HOADLEY: Well, it doesn't.

6 MR. WINTER: -- for each drug.

7 DR. HOADLEY: In a sense, it doesn't matter
8 because Medicare by statute is paying average sales price.
9 I mean, Medicare could be interested in that to do an
10 analysis, but it doesn't matter for payment purposes,
11 because Medicare is going to reimburse that drug by ASP,
12 regardless of what the acquisition. That's kind of your
13 point in all this.

14 MS. BUTO: But I think that Medicare does know
15 what the 340B price is for these drugs.

16 MR. WINTER: We don't think so.

17 MS. BUTO: You don't think so.

18 MR. WINTER: HRSA knows.

19 MS. BUTO: So only HRSA knows.

20 MR. WINTER: HRSA knows, and their contractor --

21 MS. BUTO: So it's a right-hand/left-hand issue.

22 MR. WINTER: -- their prime vendor contractor

1 knows, and the entities know.

2 DR. HOADLEY: In part, because it doesn't matter
3 for CMS to know that.

4 MS. BUTO: Well, except it's fairly similar to the
5 Medicaid payment, right?

6 DR. HOADLEY: I mean, anybody can estimate what
7 this is, right.

8 MR. WINTER: And there are state Medicaid programs
9 who would know if they choose to reimburse for 340B drugs,
10 the actual acquisition cost plus a dispensing fee.

11 MR. HACKBARTH: Warner.

12 MR. THOMAS: On Slide 10, you talk about the
13 escalation in growth rate. How does that compare to the
14 overall escalation in total pharmaceutical cost in general?
15 Because I think we've seen, obviously, a continued
16 escalation in just pharmaceuticals in general.

17 MR. WINTER: So the better reference point -- I
18 have not calculated on what percent the Medicare spending
19 for 340B drugs, DHS hospitals would represent as a share of
20 total, but if you look at the prior slide, in 2013 this is
21 about 2.2 percent of total U.S. spending on drugs, according
22 to IMS Health, and 2005 or 2004, it was about 1 percent. So

1 it's increased as a share, but it's still about 2 percent of
2 the total. It's still 2 percent.

3 MR. THOMAS: Okay, let me just understand. So the
4 7.1 billion of all drugs expenditures, that's only 2 percent
5 of total drug expenditures in the country?

6 MR. WINTER: Yes.

7 MR. THOMAS: So it's pretty small. I mean,
8 materially --

9 DR. REDBERG: [Off microphone.]

10 MR. THOMAS: No, but as a percentage, it's 2
11 percent. It's 2 percent.

12 DR. REDBERG: I'll take it.

13 [Laughter.]

14 MR. THOMAS: So what's the total? What's the
15 total expense, total expenditures?

16 MR. WINTER: I don't have that here -- oh, I do
17 have it here. 329-billion-200-million. That's for 2013.

18 And the number, the 1 percent, it was 1 percent of
19 -- this number was 1 percent of the total in 2005. I said
20 2004. I was wrong.

21 MR. HACKBARTH: Warner, your initial question was
22 how does the 340B growth rate compare to sort of the general

1 growth rate in drugs, right?

2 MR. THOMAS: Actually, I had two questions. I
3 mean, he actually answered the second question first.

4 My first question was really trying to look at --
5 if you look at -- at least our experience has been, with the
6 addition of specialty drugs, injectables at the acceleration
7 rate of drug expenditures, it's been pretty significant over
8 the past several years, so just trying to understand how
9 that trend compares to the growth rates that are shown on
10 page 10. I just didn't know how it compares to the overall
11 expenditures.

12 MR. HACKBARTH: Put up Slide 10 for a second. I
13 want to make sure I am interpreting this correctly.

14 So the second row is Medicare spending for Part B
15 drugs in all hospitals.

16 MR. WINTER: Yes.

17 MR. HACKBARTH: And there, the rate of growth is
18 12.7 percent. So that's sort of one measure of what the
19 baseline rate of growth is.

20 Then the top row, it includes that plus growth in
21 a number of 340B sites and patients covered, and that's why
22 it's twice as large, right?

1 MR. WINTER: Yes.

2 MR. HACKBARTH: So I think 10 gives you sort of
3 the comparison that you're looking for Medicare.

4 MR. WINTER: Although I guess what I was thinking
5 about is excluding, excluded from hospitals, just in
6 general, what does the pharmaceutical trend in general look
7 like?

8 MR. HACKBARTH: [Off microphone.]

9 DR. NERENZ: If I go just directly on this point -
10 - I didn't think of this before. This is not a so-called
11 "same-store comparison," right, because over the two, people
12 are moving from one category to another, and that's part of
13 why the trends or different -- or hospitals are moving?

14 MR. WINTER: That's part of it, and we can try to
15 calculate the same-store growth as well for the future.

16 DR. NERENZ: No, no, that's okay. I just wanted
17 to know what we're looking at.

18 MR. WINTER: Sure.

19 DR. REDBERG: Do you have a feeling, because this
20 says 10 years, is it a flat curve, or has it increased in
21 the last few years, so that the growth rates are higher in
22 the more recent years?

1 MR. WINTER: In terms of the 340B DSH hospitals or
2 all?

3 DR. REDBERG: Both.

4 MR. WINTER: So if you look at page 21 in the
5 briefing paper, we have more years of data. We also talk
6 about the growth. So there was a steep increase between
7 2010, 2011. I mean, it's growing at a rate about -- it is
8 growing by about 5- to 600 million per year, from 2010 to
9 2013. I don't have the actual rates, though, in the
10 chapter. We'd have to add those.

11 MS. BUTO: I think that was post the ACA
12 liberalization of the criteria, right, for 340B provider?

13 DR. ZABINSKI: Yeah. That's going to be part of
14 it.

15 MR. WINTER: Yep.

16 MS. BUTO: I wondered whether you could clarify.
17 On page 14 of the paper, we say that the increase was driven
18 by growth in the number of critical access hospitals and
19 other hospitals that became eligible for 340B in 2010, and I
20 am wondering if you could give us a little more specificity.
21 Was that cancer hospitals specifically? Were there certain
22 types of hospitals that have contributed to this real growth

1 rate that Rita was mentioning earlier?

2 MR. WINTER: It's primarily CAHs. If you look at
3 Slide 8, you can see the big increase in CAHs.

4 In 2010, that's the third quarter of 2010,
5 actually third quarter of each year. So when CAHs became
6 eligible and when the ACA was passed in March 2010, between
7 March and the end of September, there were 292 in the
8 program, that entered the program, and then by 2014, it was
9 940.

10 And then the yellow category includes other
11 hospitals, which would be freestanding cancer hospitals,
12 children's, rural referral centers, and sole community
13 hospitals. And it's really not cancer hospitals, because
14 there are only three in the program.

15 MS. BUTO: Okay.

16 MR. WINTER: So it's really going to be the last
17 two categories, the SCHs and RRCs, that small yellow bar at
18 the bottom.

19 MS. BUTO: I think I saw elsewhere in the paper --
20 and now I'm looking for it -- some reference to the growth
21 in oncology drugs that are covered under 340B?

22 MR. WINTER: Yes.

1 MS. BUTO: Is that a category that's grown,
2 notwithstanding what type of hospital is involved?

3 MR. WINTER: Yes. There is a category that has
4 grown, as we talk about in the paper, and that is looking
5 across all 340B hospitals, so it's going to be DHS, CAH.
6 It's probably mainly DSH, because that's where most of the
7 Medicare dollars are, but we can disentangle that further,
8 if you'd like.

9 MS. BUTO: Thanks.

10 MR. HACKBARTH: Okay. In fact, I think really our
11 role here is sort of Round 1 questions. Congress is not
12 looking to us to provide advice on this, hopefully just some
13 good information, and so we're sort of a focus group that
14 has reasonably intelligent and informed people asking
15 questions that help the staff refine the work.

16 Jack, clarifying questions?

17 DR. HOADLEY: So this is sort of clarifying to the
18 previous clarifying discussion. I guess it was really
19 Warner's question on Slide 10 versus Slide 9, for example,
20 and I want to make sure I'm reading this correctly, but
21 Slide 10, because we are talking about Medicaid spending, we
22 are talking only about Part B drug, which means only

1 physician-administered drugs, and that's had a high-growth
2 rate, whether we are looking at all hospitals or even higher
3 with the 340B.

4 But when we're back on the previous slide, Slide
5 9, we are changing the frame in at least two or three
6 different ways. We are now looking at 340B drugs, any kind
7 of 340B providers, Medicare, non-Medicare, but all kinds of
8 drugs, as well.

9 So, here, we're talking about blood pressure
10 drugs, all the kinds of oral meds, not just the physician-
11 administered drugs that show up in 10. So if you talk about
12 what the overall growth rate was, sort of underneath Slide
13 9, it is much, much lower, and so this is heavily driven by
14 more entities and that kind of thing.

15 Am I reading all of those --

16 MR. WINTER: And just one other distinction
17 between the two slides, Slide 9 is what the 340B entities
18 paid to acquire the drugs as the purchase price, rather than
19 Slide 10 is what Medicare spent, Medicare paid for these
20 drugs, the payer's price.

21 DR. HOADLEY: And so it is important to just keep
22 in mind, because when we bring our Medicare lens to it, we

1 think of 340B relative to the physician-administered drugs,
2 but 340B as a whole is all kinds of drugs, and so we're
3 getting different universes when we're sort of inside the
4 Medicare world versus not.

5 Then my next comment, on Slide 3, it kind of goes
6 to the discussion of the different kinds of discounts.
7 Here, you cite 25 to 50 percent of AWP, and it's important,
8 I think, to note that AWP is not the usual sales price for a
9 drug. So most insurers not benefitting from 340B are
10 getting something like 13 percent, plus or minus, kind of
11 discount from AWP.

12 So framing this from AWP is the way everybody does
13 it, but the sort of normal paid price by insurer is lower
14 than AWP.

15 And then my question --

16 DR. MILLER: So, on that point, you'd like us in
17 the paper to point out that? That's what you're driving at?

18 DR. HOADLEY: Yeah. You could say typical
19 commercial plan, this AWP --

20 DR. MILLER: I just want to pin these down as we
21 go, so that we all follow.

22 DR. HOADLEY: I mean, CBO, some years ago, did a

1 nice chart that shows where various payers line up relative
2 to AWP. You could go back to that.

3 And then this isn't on any particular slide, but
4 I'm trying to remember and ask whether you know. Are the
5 340B purchases included in the ASP calculation?

6 MR. WINTER: No, they are not. We've confirmed
7 that.

8 DR. HOADLEY: Okay, good. So, if they were, then
9 you would say that one of the effects of some of the buyers
10 buying 340B drugs at this now-greater level would be that it
11 would gradually bring the ASP down, and you'd have that sort
12 of averaging game that we often get. Because they're
13 excluded, it keeps that gap between what Medicare pay and
14 what others pay.

15 MR. WINTER: Right.

16 MR. HACKBARTH: Other clarifying questions?
17 Craig.

18 DR. SAMITT: SO just help me to understand. On
19 Slide 4, I am trying to get my head around sort of this
20 notion of affiliation. If I am a hospital that meets one of
21 these criteria, but I've got seven other hospitals in my
22 system that don't meet the criteria, can my 340B program

1 still apply to all the other hospitals even though they
2 don't meet the criteria individually?

3 MR. WINTER: These questions apply to the entire
4 entity, and if the entity has sites that it wants to enroll
5 and that can follow the rules of the program, then they can
6 enroll those sites.

7 DR. SAMITT: But the assessment of the entity is
8 the entity collectively with all of its parts?

9 MR. WINTER: I believe so. That is a really good
10 question, and we should track that down with HRSA and try to
11 confirm that. That is my understanding.

12 DR. NERENZ: Well, just as a guess, I think
13 talking a little bit of cross-purposes, I think you are
14 talking about a hospital with multiple sites. Craig is
15 talking about a system with lots of hospitals.

16 MR. WINTER: I'm sorry. Yes.

17 DR. ZABINSKI: I think it is like each individual
18 hospital has got to be considered distinctly, even if they
19 are in the same system.

20 DR. NERENZ: Okay.

21 MR. HACKBARTH: Dave's point and Dan's point is it
22 that it matters whether it is a multi-hospital system as

1 opposed to a single-hospital system with a network of
2 ambulatory clinics, and that the rules apply differently.

3 DR. SAMITT: So an entity is defined as a single
4 hospital, not a hospital system with multiple facilities?

5 MR. WINTER: I believe it's defined as a single
6 hospital.

7 MR. THOMAS: It's probably by provider number.
8 Wouldn't you think?

9 MS. BUTO: But if the hospitals share a pharmacy,
10 it would be very hard for the pharmacy to distinguish if a
11 patient is somewhere in that system, so I think it's -- you
12 know, that's one of those fuzzy areas that the paper points
13 out.

14 DR. SAMITT: And then my second question is on
15 Slide 12, in terms of the 65 percent of hospitals that have
16 a DSH greater than 11.75. Do we envision that will evolve
17 over time and that there would be those that will fall below
18 that threshold? And when they fall below that threshold, do
19 they lose their 340B status or do they maintain it into
20 perpetuity?

21 MR. WINTER: If they fall below the threshold,
22 then they are supposed to --

1 DR. SAMITT: Self-report?

2 MR. WINTER: Yes. Because they're supposed to
3 recertify every year that they meet the requirements. So if
4 they fall below the threshold, they've got to report that,
5 you know, "We no longer meet the requirements, and
6 therefore, we're going to be out of the program."

7 We do expect that over time more hospitals will
8 have -- will exceed this percentage because of the expansion
9 of Medicaid in many states, and that's a key part of the DSH
10 percentage calculation.

11 DR. NERENZ: And also just back to our earlier
12 discussion, a DSH percentage is a characteristic of a
13 hospital not of a system, right?

14 MR. WINTER: I believe it's calculated at the
15 hospital level, but I'm not --

16 DR. MILLER: Yeah, that's right.

17 MR. WINTER: -- the hospital expert here, so I'm
18 going to look at --

19 DR. NERENZ: Yeah, that would have been my
20 presumption. It just reinforces the idea that this is a
21 hospital program, not a system program.

22 DR. MILLER: And we'll go back through your -- you

1 know, David's and Craig's questions about hospital versus
2 site, separate question, what about system. We'll check all
3 of our facts. Take everything that we've said as this is
4 our best take given what we understand, and yes to your
5 question, DSH is calculated at a hospital level.

6 DR. REDBERG: Can you explain why a DSH percentage
7 is not a good proxy for the amount of uncompensated care?

8 MR. WINTER: So we did a study, and Jeff I think
9 was the lead on this. It was published in our 2007 report,
10 and that was the conclusion of the analysis, that the DHS --
11 what we said in the paper. And if you want more detail, I
12 would ask Jeff if he would come up and --

13 DR. MILLER: I'll give you a little shot on it.
14 So the DSH percentage is two things: the percentage of SSI
15 -- Medicare patients that are -- or days, I guess, that are
16 SSI Medicare, so it's poorer Medicare, and Medicaid. And so
17 it doesn't actually measure uncompensated care, and we did
18 some work awhile back, and I think we even looked at it even
19 more recently given the change in the law. And when you
20 look at the hospitals who qualify for DSH, it's not the same
21 hospitals who have the highest percentage of uncompensated
22 care when you think of it as charity care, bad debt, that

1 type of thing. So they don't exactly line up. And I got a
2 nod, so we're going to stand there. I'm going to stay on
3 this base.

4 DR. COOMBS: I just have a question. I didn't see
5 it. Are the 340B sites in a particular area geographically?
6 And is there a clustering? Is there a way that we could get
7 our arms around that? Or does it make a difference?

8 DR. ZABINSKI: It's pretty national. If you
9 exclude the CAHs, it's more urban than rural. But beyond
10 that, I don't think there's any real type of, you know,
11 hospital location or characteristics that --

12 DR. REDBERG: So I was wondering if there's --

13 DR. ZABINSKI: -- really distinguish --

14 DR. REDBERG: -- like 340B deserts? Are there
15 places where they're not?

16 DR. ZABINSKI: Not really. You know, they're
17 going to tend to be in, you know, poorer areas just by their
18 nature, but even -- but that said, the 11.75 percent
19 threshold is not a high one to meet. So it's, you know --
20 even, you know, going by income level is not really a strong
21 indicator. So like I said, I guess the only thing that
22 really distinguishes, you know, hospitals is basically urban

1 -- it's just more of an urban-focused situation, once again,
2 if you exclude the critical access hospitals.

3 DR. MILLER: For the critical access hospitals,
4 there's 900-plus of them, right? And there's about 1,200 or
5 1,300 of --

6 DR. ZABINSKI: Yeah.

7 DR. MILLER: So, I mean, I know -- your statement
8 is true if you take them out. It's much more of an urban
9 phenomenon. But a lot of this action is the critical access
10 hospitals, and a lot of the growth --

11 DR. ZABINSKI: I mean, I guess I should have said
12 why I was, you know, throwing out the idea of taking out the
13 critical access hospitals, is that, you know, in terms of
14 money in the program, it's in the DSH hospitals.

15 DR. MILLER: Absolutely. If you're counting the
16 units, there's a lot of them. If you're counting the money,
17 then it's definitely DSH. Because she was asking about --
18 you know, looking across the country, and it's pretty much
19 out there.

20 DR. CHRISTIANSON: In one of your slides -- or I
21 think in one of your slides, certainly in the paper, I think
22 there was some mention that HRSA is continuing to work on

1 clarifying some of -- yeah, so do you know the direction
2 that this would likely take us in terms of affecting the
3 dollars that are flowing here, or too early tell, or what?

4 MR. WINTER: They have not signaled what direction
5 they're moving in terms of the reg, and the target date for
6 issuing it -- the original target date was June, and that
7 was -- it did not come out in June, and they have not issued
8 a new target date. And we know it was sent to OMB for
9 review sometime in the spring, and that's all we know. And
10 they have not signaled -- they have said what kinds of
11 issues they plan to address, and they have mentioned three
12 or four things: the definition of an eligible patient,
13 contract pharmacy arrangements, the criteria for hospital
14 eligibility, and off-site families. They have not --

15 DR. CHRISTIANSON: Have they been asked to address
16 these issues by OIG or some other body?

17 MR. WINTER: Both OIG and GAO have flagged these
18 issues, particularly the definition of patient eligibility
19 and contract pharmacy arrangements, and even the hospital
20 eligibility criteria, as things that HRSA should address.

21 DR. CHRISTIANSON: So based on that, would it be
22 reasonable to assume that if they do follow through with

1 those suggestions that we would have a restriction in terms
2 of eligibility? They're identifying areas that they don't
3 like, that need tightening up. Right?

4 DR. MILLER: I just don't think Ariel wants to
5 speak on behalf of HRSA.

6 [Laughter.]

7 DR. MILLER: How do I put this delicately.

8 DR. CHRISTIANSON: There was something more public
9 about the direction they were going.

10 DR. MILLER: We really don't have a lot here, and
11 I think we'd be filling in gaps that we don't really have.

12 MR. WINTER: The one thing we can say is that in
13 2007 HRSA issued a proposed notice which would have
14 tightened the definition of an eligible patient, and that
15 was never -- we understand that there were a lot of concerns
16 expressed about that proposal, and it was never finalized.
17 But we can't use that to predict what direction they're
18 going to head in.

19 MS. BUTO: Ariel or Dan, do we know the extent to
20 which the program is -- you know, has a benefit to low-
21 income patients? I know that's a vague question, but that
22 was the original intent, was to benefit hospitals and other

1 entities that were serving lower-income -- do we have any
2 sense of that, or is that anything that the OIG, GAO, or
3 HRSA are looking at?

4 MR. WINTER: We don't have data on that because
5 the covered entities are not required to track the savings
6 or revenue or how they're using them, you know, what
7 purposes they're using them for. There are no requirements
8 for that, and they're not required to track it, and HRSA
9 doesn't collect the information. So we don't have data for
10 which to answer your question.

11 This is something that I know that OIG did look at
12 a little bit in their report from this year on the use of
13 contract pharmacies, contract pharmacy arrangements, and
14 they did find evidence that some of the contract pharmacies
15 were not providing discounted drugs to uninsured patients.
16 But we should keep in mind that this was a pretty small
17 sample. It was 30, I believe, covered entities and their
18 contract pharmacy arrangements. So it's pretty -- you know,
19 that's the best I can do to answer that question.

20 MR. HACKBARTH: And in a way, Kathy, it seems to
21 me your question goes to one of the central issues here. Is
22 the objective to benefit low-income patients or is to

1 benefit the institutions that serve them? And there seems
2 to be some ambivalence, and that's why the program is
3 complicated.

4 DR. MILLER: And just to complicate this a little
5 bit further, you could think about that question two
6 different ways. You can think -- in a sense, you guys
7 almost indirectly touched on the two different ways, but
8 just to tease it out, you could almost also the question of
9 when the drug is dispensed and the definition of what
10 qualifies for the discount, do you make a decision there?
11 Or, two, once you have the revenue, should the revenue be
12 devoted to, you know, some -- whatever the case may be. So
13 in a sense you could ask questions about when you generate
14 the discount, who should qualify for that and/or, two, when
15 you have the dollar, what you devote it to. Those could
16 both be ways of satisfying -- but I also think I have to say
17 this: I think there are very strong differences of opinion
18 on this between the two protagonists, you know, the drug
19 manufacturers and the hospitals. The hospitals point to
20 that language that Ariel was putting up and the legislation
21 and say this is about benefiting the institution and stand
22 pretty firmly on that.

1 DR. HOADLEY: And if we were -- which we're not.
2 If we were studying this further --

3 [Inaudible comment/laughter.]

4 DR. HOADLEY: You would also want to think about
5 the different provider types, because we're mostly talking
6 about hospitals, but there's an awful lot of this program
7 that's the federally qualified health centers, and there
8 might or might not be different answers to the different
9 questions you just asked in those different settings.

10 MR. THOMAS: Have we looked at any of the margin
11 comparisons of organizations that are in this 340B program
12 kind of compared to others that are not? Have we looked at
13 any impact on the margins of the pharmaceutical companies
14 from '05 through '13 to see if the rise in the 340B drug
15 purchases, you know, from 2.4 to 7 billion have had an
16 impact on margins there?

17 DR. ZABINSKI: Not --

18 MR. WINTER: The question is about whether we've
19 looked at overall hospital margins for 340B hospitals or for
20 the drugs themselves?

21 MR. THOMAS: The second question is the
22 manufacturer. The first question was just in general. Not

1 on the specific drugs themselves, just on the overall margin
2 of these facilities, the critical access hospitals, the DHS
3 hospitals.

4 MR. WINTER: Right.

5 MR. THOMAS: I mean, do we understand -- I know
6 we've just recently looked at margins kind of generally for
7 hospitals. Do we understand what that looks like for 340B
8 hospitals? And then, separately, a different question, do
9 we understand what the margins in the pharmaceutical
10 industry look like, you know, comparatively from '05 through
11 '13? Has there been a difference, has there been an
12 escalation in the 340B drug purchases.

13 MR. WINTER: We have not looked at either, to
14 answer your question.

15 MR. HACKBARTH: So many other things going on in
16 both the hospital margins and the drug company margins, both
17 over time, a time series, and on a cross-sectional basis. I
18 don't know what you'd really figure out.

19 Any other clarifying questions?

20 [No response.]

21 MR. HACKBARTH: Okay. Thank you very much.

22 MS. BUTO: You're not doing a part two for this

1 [off microphone]?

2 MR. HACKBARTH: No. For the reasons I said
3 earlier, you know, the request here from the Congress is not
4 that we provide commentary on the program or advice on how
5 to reform it or anything else but, rather, just help them
6 with some organized information about how it works and some
7 of its -- how it plays out.

8 MS. BUTO: Glenn, does that apply also to giving
9 them an assessment of what we think some of the drivers are
10 of the program's growth? Or do they not really -- or do we
11 not want to do that, I guess is the issue.

12 MR. HACKBARTH: I'll actually let Mark try to
13 answer that.

14 DR. MILLER: Thanks a lot.

15 MR. HACKBARTH: He's really good at that.

16 DR. MILLER: I really appreciate that. I'm not
17 sure what you mean.

18 MS. BUTO: Well, there's been a big growth in the
19 program since the ACA, and the question -- I don't know
20 whether that spurred their interest in wanting to know more
21 about it and want to hear from MedPAC what we think is
22 driving that growth, or not? I just don't know. Or maybe

1 we don't think we're qualified to speak to it because we
2 really have just done an overview of the program as opposed
3 to really delved down into --

4 DR. MILLER: I'll proceed or if you wanted to cut
5 in. I mean, I think some of this -- and maybe this needs to
6 be teased out more in the report if you don't feel like it's
7 -- we did try and speak to the fact that the criteria were
8 opened in 2010 and all of that, and that decidedly had a
9 burst on it, and maybe that doesn't punch through in the
10 chapter.

11 The reason I genuinely was asking about the
12 drivers, I was thinking you were going to below that and
13 asking what's driving it, and I wasn't sure we would be able
14 to comment on that. But if it's about the expansions in
15 law, we can make sure that that comes out a lot more clearly
16 than it does, if it didn't punch through to you.

17 MS. BUTO: Right. I was also -- and I know we're
18 not going to talk about it here, but just talking about the
19 whole system of payment to these entities and looking at
20 that as part of a much larger --

21 DR. MILLER: So you mean more --

22 MS. BUTO: -- set of issues.

1 DR. MILLER: -- the Medicare 340B --

2 MS. BUTO: Interaction.

3 DR. MILLER: Absolutely, and I think Ariel said
4 this very clearly in his setup comments. If you guys want
5 to talk about that in the future, we have no problem looking
6 at that. And, also, tomorrow when we talk about -- we're
7 kind of returning to the Part B drug conversation. It's the
8 second session tomorrow, if I have that right. And we'll be
9 talking a bit about ASP there, and if you guys want to build
10 around that, no problem.

11 MR. HACKBARTH: Okay. Thank you very much, Ariel.
12 Good job.

13 Okay. We are now to our public comment period.
14 If you wish to make a comment, would you please go to the
15 microphone so I can see who and how many? And hold on for
16 just one minute please. Anybody else planning to make a
17 comment?

18 Okay. It looks like we have just one. Let me
19 quickly repeat the ground rules. So please begin by telling
20 us who you are and what organization you represent. You
21 have two minutes. When the red light comes back on, that
22 signifies the end of the two minutes.

1 As always, I remind people this isn't your best or
2 only opportunity to contribute to our work. The best
3 opportunity is by talking directly to our staff. You can
4 also write letters to the Commissioners or lodge comments on
5 our website.

6 MS. WILES: Great, thank you. My name is Jocelyn
7 Wiles. I am representing America's Essential Hospitals.

8 America's Essential Hospitals, formerly the
9 National Association of Public Hospitals and Health Systems,
10 is the only national association and champion for hospitals
11 and health systems dedicated to high-quality care for all,
12 including the most vulnerable. Three-quarters of the
13 patients we serve rely on Medicaid, Medicare, or are
14 uninsured.

15 The 340B drug discount program is a 20-year-old
16 program that expands access to medical care for many of our
17 most vulnerable patients and helps to reduce pharmaceutical
18 cost for hundreds of hospitals that serve many low-income
19 residents in the communities our hospitals serve.

20 As the staff mentioned, the outlined statutory
21 intent of the 340B program is to stretch scarce federal
22 resources as far as possible, reaching more eligible

1 patients and providing more comprehensive services.

2 Essential hospitals operate as a negative .4
3 percent margin. Thanks to the 340B program, essential
4 hospitals nationwide are able to expand services, increase
5 the number of patients they serve, and offset losses from
6 uncompensated care.

7 As supporters of 340B, America's Essential
8 Hospitals encourages MedPAC to support the program. This
9 program is not only good for the patients and covered
10 entities; it also saves money for both the federal
11 government and state governments.

12 We hope to see the program to continue to reflect
13 its statutory intent.

14 Thank you.

15 MS. TODD: Hi. I'm Laurel Todd from BIO. I just
16 wanted to follow up on some of the Commissioners'
17 discussions before.

18 I think what's important to keep in mind about the
19 340B program is less about the -- of drivers of 340B, but
20 considering 340B as a driver of other trends that you see
21 within the Medicare program. So one thing that was not
22 discussed here was looking at hospital acquisitions and

1 physician practices and what -- that happens in 340B and
2 non-340B, but how does that play out throughout the rest of
3 the system and looking at the system as a whole.

4 To the discussion earlier about the DSH metric,
5 it's important for the Commission to remember that DSH is a
6 member of inpatient Medicaid days, and 340B is an outpatient
7 program. To that point of acquisitions, when a DSH hospital
8 or other facility makes acquisitions, those acquisitions
9 don't reflect back on the DHS eligibility.

10 So, in that discussion of hospital systems versus
11 hospitals with lots of sites, in the situation where you
12 have a hospital that qualifies as 340B through its DSH, but
13 it has lots of qualifying -- or has lots of outpatient sites
14 that it's acquired for whatever reason, those sites don't
15 reflect backup into the eligibility for the whole hospital,
16 and I think that's something that the Commission should keep
17 in mind, as they're exploring 340B, less in the program
18 itself. Because it's a HRSA program, it's difficult for you
19 guys to do that, but to think of 340B as a driver of other
20 trends that you're seeing within the Medicare program.

21 Thank you.

22 MR. HACKBARTH: Okay. We will reconvene tomorrow

1 at 8:30.

2 [Whereupon, at 4:58 p.m., the meeting was
3 recessed, to reconvene at 8:30 a.m. on Friday, November 7,
4 2014.]

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

MEDICARE PAYMENT ADVISORY COMMISSION

PUBLIC MEETING

The Horizon Ballroom
Ronald Reagan Building
International Trade Center
1300 Pennsylvania Avenue, N.W.
Washington, D.C.

Friday, November 7, 2014
8:30 a.m.

COMMISSIONERS PRESENT:
GLENN M. HACKBARTH, JD, Chair
JON B. CHRISTIANSON, PhD, Vice Chair
SCOTT ARMSTRONG, MBA, FACHE
KATHERINE BAICKER, PhD
KATHY BUTO, MPA
ALICE COOMBS, MD
FRANCIS "JAY" CROSSON, MD
WILLIS D. GRADISON, MBA
JACK HOADLEY, PhD
MARY NAYLOR, PhD, RN, FAAN
DAVID NERENZ, PhD
RITA REDBERG, MD, MSc, FACC
CRAIG SAMITT, MD, MBA
WARNER THOMAS, MBA
CORI UCCELLO, FSA, MAAA, MPP

	2
AGENDA	PAGE
Site-neutral payments for select conditions treated in inpatient rehabilitation facilities and skilled nursing facilities - Carol Carter, Dana Kelley	3
Developing payment policy to promote the use of services based on clinical evidence - Nancy Ray, Katelyn Smalley	67
Public Comment	140

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P R O C E E D I N G S [8:30 a.m.]

MR. HACKBARTH: Okay, good morning. So we begin this morning with site-neutral payments for inpatient rehab and SNFs. Carol?

DR. CARTER: Okay, good morning. This session continues the Commission's conversation about site-neutral payments. The Commission began its work looking at ambulatory services. In 2012, you recommended that payments should be the same for office visits furnished in physicians' offices and hospital outpatient departments. In 2014, you examined 66 ambulatory services and again made recommendations to eliminate or narrow price differences between the two settings. The Commission also applied to concept to acute-care services and recommended that payments to acute-care hospitals and long-term-care hospitals be the same for non-chronically critically ill patients.

In June, we turned our attention to post-acute care and began a discussion of site-neutral payments between inpatient rehab facilities and skilled nursing facilities. Both settings furnish rehabilitation care to patients recovering from a hospital stay. While there is overlap in the types of patients they treat, program payments differ

1 considerably by site, with SNFs generally being the lower-
2 priced option.

3 Today we'll begin with a review of the findings we
4 reported in June and SNF patients recovering from three
5 conditions: joint replacement, hip and femur procedures,
6 and stroke. Then we'll present follow-up analyses we
7 conducted on stroke. Next, we'll describe our analysis of
8 new conditions that could be considered in a site-neutral
9 policy. We are looking for guidance on the design of a
10 site-neutral policy, specifically the conditions to include
11 and how to consider stroke.

12 As background, the services typically offered in
13 IRFs and SNFs differ in important ways. IRFs are licensed
14 as hospitals and have more physician oversight and nursing
15 resources compared with most SNFs. IRF patients must be
16 able to tolerate and benefit from intensive therapy, often
17 interpreted as three hours a day. In SNFs, the amount of
18 therapy can vary, though the majority of days have at least
19 2.4 hours a day.

20 We recognize that the services in the two settings
21 differ. The question is whether the program should pay for
22 those differences when the patients admitted and the

1 outcomes they achieve are similar.

2 Aside from program requirements, each setting has
3 its own prospective payment system. The SNF PPS is day
4 based, and there are no additional payments. The IRF PPS is
5 discharge based, and Medicare makes additional payments for
6 teaching, share of low-income patients, and outliers. IRFs
7 also have a threshold compliance that requires that 60
8 percent of all of their cases have specific diagnoses.

9 To ensure, to the extent that we can, that we
10 identify services and conditions most appropriate for site-
11 neutral policies, the Commission has taken a deliberative
12 approach. It has consistently used a set of criteria to
13 evaluate candidate conditions and services. For the IRF-SNF
14 work, we have examined: whether the condition is frequently
15 treated in the lower-cost setting, as a way to ensure that
16 the setting safe; that the patients have similar risk
17 profiles;

18 that and their outcomes are similar.

19 Ideally we would compare risk-adjusted outcomes
20 but this information is often lacking. And even when it is
21 available, we cannot fully control for selection.

22 For IRF-SNF site-neutral policy, let me outline

1 what we have assumed. For selected conditions, IRFs would
2 be paid the average SNF payment per discharge as the IRF
3 base rate. The add-on payments would remain the same. And
4 for qualifying conditions, IRFs would get relief from
5 certain regulations regarding how care is furnished, such as
6 the "intensive therapy" requirement and the frequency of
7 face-to-face physician visits.

8 Now let me go through our previous findings that
9 were reported in June. On orthopedic conditions, we found
10 that the majority of cases are treated in SNFs and the
11 patients' risk profiles were similar.

12 The risk-adjusted outcomes were mixed.
13 Readmission rates and changes in mobility -- both of those
14 were risk adjusted -- were similar for both settings, while
15 IRF patients had larger improvements in self-care.

16 Unadjusted mortality rates were higher in SNFs,
17 though risk adjustment would narrow the differences. We
18 compared the spending in the 30 days after discharge from
19 each setting. Though IRFs had lower readmission costs, they
20 had higher additional PAC spending, and so that, on net, IRF
21 spending was higher. We concluded that orthopedic
22 conditions could be a promising starting point for a site-

1 neutral policy.

2 On the stroke condition, we found that the
3 majority of these cases are treated in IRFs, not the lower-
4 cost setting. There was greater variation in the stroke
5 patients. SNF patients were older and sicker compared with
6 IRF patients.

7 The risk-adjusted outcomes were mixed and
8 consistent with what I just went through for the orthopedic
9 conditions and listed on the slide. Given the variability
10 in stroke patients, you asked that more work be done to see
11 if there was a subset of stroke patients who might be
12 appropriate for a site-neutral policy.

13 Our follow-up work on stroke had two parts.
14 First, we interviewed 12 practitioners who treat or place
15 stroke patients. They included a director of a stroke
16 service at a major teaching hospital, an internist who
17 conducts health service research on stroke outcomes,
18 geriatricians who direct elderly units at acute hospitals,
19 medical directors at nursing homes, and hospital managers
20 who guide placement decisions. All practiced in markets
21 where there were IRFs. We asked them which stroke patients
22 go to SNFs versus IRFs. We also reached out to a medical

1 society for physical and rehabilitation medicine to get
2 their thoughts on the appropriate use of each setting.

3 The themes we heard prompted additional data
4 analysis on three topics: the severity of illness of stroke
5 patients, the severity of the stroke, and whether stroke use
6 was related to IRF bed availability.

7 We asked interviewees where stroke patients were
8 referred. Each interviewee had clear "rules" about IRF and
9 SNF use. The problem was there was little agreement about
10 those rules, except that IRF patients needed to be able to
11 tolerate and benefit from intensive therapy and would be
12 likely to go home in the time frame of a typical IRF stay,
13 about two weeks.

14 Some practitioners told us the sickest patients go
15 to SNFs because they cannot tolerate intensive therapy;
16 others told us they go to IRFs because nursing and physician
17 coverage is higher. No comorbidities or the need for
18 special services seemed to dictate the choice of setting.
19 We heard that patients recovering from mild strokes may be
20 discharged home and don't require either setting. Given the
21 wide range in what we heard, we concluded that placement was
22 likely to vary by the capabilities of the SNFs in their

1 markets.

2 The medical society gave us a list of
3 comorbidities and medical complexities that were more
4 appropriate for IRFs. We also heard that IRF and SNF use
5 depended on the severity of the stroke, since IRF users must
6 be able to follow instructions. And, last, we heard that
7 IRF use depended on the IRF bed availability.

8 So looking a patient severity, we looked at
9 Medicare hospital claims data and found that some of the
10 comorbidities mentioned are infrequently treated in either
11 setting; others were more likely to be treated in SNFs,
12 though some of those differences were small.

13 We also looked at the severity of the prior
14 hospital stay and found that SNFs treat the majority of the
15 most severely ill, while IRFs treat the majority of the
16 least severely ill.

17 Another theme we heard was about the severity of
18 the stroke, and here I'm making a distinction between
19 severity of illness and the actual severity of the stroke.
20 Medicare doesn't collect data on stroke severity, so we
21 looked at two proxies. First, using claims data, we
22 examined diagnoses codes for paralysis and found that IRFs

1 are more likely to treat patients with paralysis. However,
2 among patients with paralysis, those with dominant-side
3 paralysis (and likely have more difficult recovery) were
4 less likely to go to IRFs compared with patients with non-
5 dominant-side paralysis.

6 Another way to get at the severity of stroke
7 patients was to compare the functional status of SNFs in
8 markets with and without IRFs. We looked at 15 measures of
9 function and impairment collected by the SNF assessment tool
10 and found that patients treated in SNFs in markets with IRFs
11 had lower functioning and more impairments than SNF users in
12 markets without IRFs, though some of the differences were
13 small. We infer that IRFs admit the higher-functioning or
14 similar patients.

15 The last theme we heard was that IRF use depends
16 on IRF bed availability. In markets where IRF beds are
17 tight, we heard that beds may be "reserved" for orthopedic
18 and brain injury patients, while in markets with more
19 availability, stroke patients are referred to IRFs.

20 We compared markets with high and low IRF
21 occupancy and looked at how frequently stroke patients were
22 sent to SNFs. We found that in markets with high IRF

1 occupancy, SNFs are used less. But we also found that in
2 markets with low IRF occupancy, SNFs were used more.

3 This lack of consistency in findings reinforces
4 that there are not strong patterns of IRF and SNF use for
5 stroke patients. IRF use is likely to differ by the
6 prevailing practice patterns and dynamics of individual
7 markets.

8 Our previous analyses combined with our new
9 analysis leads up to conclude that a site-neutral policy
10 could include a subset of stroke patients: the most
11 severely ill, who generally cannot tolerate intensive
12 therapy, and those patients meet our criteria; and the least
13 severely ill, who do not need the intensity of an IRF.

14 We also conclude that CMS needs to narrow the
15 definition of stroke cases counting towards IRF compliance.
16 And if it does, the threshold itself may need to be
17 modified. The paper discusses the relationship between
18 narrower definitions and the level of the threshold, and
19 we'd be glad to answer any questions about this.

20 Now we shift gears, and we want to talk about
21 additional conditions. In June, the Commission noted that
22 it would explore whether other conditions lend themselves to

1 a site-neutral policy. We started with conditions with high
2 volume and spending in IRFs, and when we looked at the
3 conditions that met our criteria, we were left with -- we
4 looked at 17. All of these met our criteria and were a mix
5 of orthopedic, pulmonary, cardiac, and infections, and they
6 make up about 10 percent of IRF cases and spending.

7 For these 17, total IRF payments, including their
8 add-ons, are on average 64 percent higher than SNF rates.
9 And just looking at the base rates and comparing that to SNF
10 payments, IRF base rates were 49 percent higher than SNF
11 payments.

12 We looked at the risk profiles using HCC, the
13 Medicare risk profiles for each beneficiary, and hospital
14 claim information for the 17 conditions. We found that the
15 risk scores were similar -- SNF patients had slight higher
16 scores -- and on average, SNF patients were older.

17 Most comorbidities were more common in SNF users
18 or were comparable between the two settings, and this is a
19 refinement from what was in the paper. Eight comorbidities
20 were more common in SNFs, seven were comparable, and the
21 exceptions were obesity and polyneuropathy, which were more
22 frequent in IRFs.

1 From the CMS PAC demonstration, we know that there
2 is considerable overlap in the functional status at
3 admission between patients admitted to both settings.
4 That's looking across all types of patients and not just the
5 17 conditions we're focused on here.

6 Turning to outcomes, the results were mixed, in
7 part because not all the measures are risk adjusted. We
8 found that observed mortality rates were higher in SNFs in
9 part because their patients are older and sicker.
10 Differences between the two settings would narrow with risk-
11 adjustment.

12 We also looked at program spending in the 30 days
13 after leaving the IRF or the SNF. Although payments for IRF
14 stays are generally higher, we wondered if their spending in
15 the 30 days after would be lower. We found that IRF stays
16 continued to have higher spending in the 30 days after
17 discharge compared with SNF stays, 7 percent higher.
18 Although IRF stays had much lower spending on readmissions,
19 their spending on additional post-acute care was
20 considerably higher.

21 Again, we report CMS' demonstration finding that
22 across all patients, risk-adjusted readmission rates and

1 changes in mobility were similar between IRF and SNF
2 patients, while changes in self care were higher for
3 patients treated in IRFs.

4 Now Dana will go over the impacts of such a
5 policy.

6 MS. KELLEY: To assess the financial impact of
7 paying IRFs the same rate that SNFs would be paid, we first
8 converted SNF daily payments to per discharge rates by
9 summing the 2012 daily payments for each of the selected
10 conditions.

11 We then estimated aggregate Medicare payments to
12 IRFs using SNF payments per discharge as the base payments
13 for the selected conditions. This approach bases the IRF
14 payment on the average SNF length of stay.

15 As Carol mentioned, our site-neutral policy would
16 not affect IRFs' add-on payments, so we assumed that IRF
17 add-on payments for teaching programs, treating low-income
18 patients, and having high-cost outliers would remain at
19 current levels.

20 Here you can our estimated impacts of applying a
21 site-neutral policy to IRFs. In the first line, you can see
22 that replacing the IRF base payment with SNF payment would

1 result in a substantial decrease in Medicare's payments for
2 the 17 new conditions we've been discussing. Aggregate
3 payments for these conditions would fall by \$309 million.

4 Applying site-neutral payment to our previously
5 considered orthopedic conditions -- the joint replacement,
6 and hip and femur procedures we discussed last spring --
7 would reduce Medicare spending by \$188 million.

8 Combined, Medicare's spending for IRF services
9 would fall by almost \$500 million, or 7 percent of IRF
10 spending.

11 It is not included in the total here, but applying
12 a site-neutral policy to strokes would reduce Medicare
13 spending by an additional \$256 million.

14 Note that our impact analysis assumes no change in
15 IRF behavior. However, we do expect significant changes in
16 provider behavior. I'll talk about why in a minute, but
17 first I'll just remind you about how a site-neutral policy
18 would be implemented.

19 Under a site-neutral policy, many cases will still
20 be paid IRF-level rates under the IRF PPS, but CMS would
21 need to make some changes to how it calculates payments for
22 conditions not affected by the site-neutral policy. The IRF

1 case mix groups will need to be refined, and the weights
2 recalibrated to maintain budget neutrality for non-site-
3 neutral cases.

4 For IRF cases paid site-neutral rates, CMS will
5 need to help level the playing field between IRFs and SNFs
6 by waiving certain IRF conditions of coverage for site-
7 neutral cases. As Carol mentioned, CMS should waive the
8 requirement that patients with site-neutral conditions
9 receive daily intensive therapy and face-to-face physician
10 visits at least three days a week.

11 Of course, it will be important to monitor
12 outcomes to ensure that changes in the provision of services
13 do not compromise quality of care.

14 CMS will also need to make changes to the 60
15 percent rule, and as Carol mentioned, we've reviewed this
16 issue in the paper, and we can discuss this further on
17 question if you'd like.

18 Once site-neutral payment has been implemented and
19 certain regulatory requirements have been waived, we can
20 expect to see those behavioral changes. You'll recall that
21 we have seen such changes among IRFs before in response to
22 other significant policy changes. For example, in 2004,

1 when CMS restricted the conditions that count towards the 60
2 percent rule and began to strictly enforce the 60 percent
3 rule, IRFs shifted their mix of patients toward conditions
4 that counted towards the 60 percent rule, and aggregate IRF
5 patient volume declined dramatically.

6 Under site-neutral payment, IRFs may change their
7 costs by reducing the intensity of services furnished to
8 site-neutral cases. The extent to which they make those
9 changes will depend on their current cost structure as well
10 as their ability to modify their variable costs. Even with
11 lower payments, IRFs may elect to continue to treat the
12 cases subject to the site-neutral policy. The cases may
13 still be profitable for some SNFs. Where cases are not
14 profitable, the payments may still cover a facility's
15 patient care costs and contribute towards covering a
16 facility's fixed costs and be preferable to an empty bed.

17 Under site-neutral payment, IRFs may opt to change
18 their mix of cases to reduce the admission of patients with
19 site-neutral conditions, as they did when the 60 percent
20 rule was more strictly enforced. An IRF's ability to adjust
21 its patient mix will depend in part on characteristics of
22 its market. For example, IRFs located in markets with few

1 or no other IRFs may have an easier time focusing on cases
2 that the average SNF is not staffed or equipped to manage in
3 the market. On the other hand, IRFs that compete with a
4 number of other IRFs or with specialized "super" SNFs might
5 find it more difficult to increase the number of non-site-
6 neutral cases.

7 So this concludes our presentation, and we will
8 now turn over the discussion to you. We are particularly
9 interested in hearing your thoughts on the new conditions
10 we've described as candidates for site-neutral policy, and
11 we'd also like your input on how to proceed with strokes.

12 MR. HACKBARTH: Okay. Thank you, Carol and Dana.
13 Excellent job.

14 So Round 1 clarifying questions. We'll go down
15 this way, beginning with Kathy.

16 MS. BUTO: I wondered if you could, when you did
17 the numbers -- and I think that was Slide 18 -- whether you
18 took into account the additional costs of hospital
19 readmission and then offset against that, I guess, the
20 additional cost for IRFs of post-acute care that was
21 provided. In other words, where does that come out? Is
22 that a lot of money? Is that very little money? I don't

1 have a feel for that.

2 MS. KELLEY: We did not take that into
3 consideration.

4 MS. BUTO: I think if we are going to put numbers
5 up there, we need to at least take into account potential
6 costs that you've pointed out in the analysis, and that
7 would be helpful. Thank you.

8 MS. KELLEY: Okay. We can get back to you on
9 that.

10 DR. CROSSON: Yeah. We can leave Slide 18 on.

11 I apologize. This is a little bit off topic, but,
12 Carol or Dana, across all the IRF-eligible conditions, if
13 the patients were treated in acute care hospitals as opposed
14 to in IRFs, would Medicare save money or lose money, and do
15 you have any idea about the magnitude of that?

16 DR. CARTER: Do you mean instead of an acute care
17 admission or just extending the acute care admission or just
18 extending the acute admission?

19 DR. CROSSON: Well --

20 DR. CARTER: Because most of these patients were
21 previously hospitalized.

22 DR. CROSSON: Right. In other words, that period

1 of time, if they stayed in the acute care hospital versus
2 being transferred to an IRF, what would the impact on
3 Medicare cost be?

4 DR. CARTER: We haven't done that analysis. I
5 think what would happen is most of these DRGs would cost --
6 the payments, I think would be lower in acute care, but
7 these cases would -- given the lengths of stay, if the
8 lengths of stay approximated what you are seeing in post-
9 acute care, these cases would hit outlier payments, and so
10 that would involve that. And we haven't done that analysis.

11 DR. CROSSON: So, as I remember, the average stay
12 was about two weeks; is that right?

13 DR. CARTER: In IRFs, it is two weeks, but in
14 SNFs, it is considerably longer.

15 DR. CROSSON: Right, okay.

16 So is that a calculation that's possible to do?

17 DR. CARTER: I would think --

18 MR. HACKBARTH: It sort of seems analogous to how
19 we thought about LTCHs, and one option was for the patients
20 to stay longer in the acute care hospital and increase
21 funding for the acute care hospital outliers as opposed to
22 pay more at LTCHs, and I think that is sort of the point

1 that --

2 DR. MILLER: And I think probably the arithmetic
3 could be done, but I just want to say this out loud. We
4 could do things like, say, all right -- and this is all very
5 simple, but assuming there is a 14-day stay for this IRF
6 patient, we could say, all right, we are going to take the
7 diagnosis they were in, in the hospital, assume they now
8 have a 14-day stay, run it into the outlier payments, and
9 figure out how much would spend out there.

10 Even with the LTCH example, I am a little bit
11 unclear what we would be saying here, because somebody has a
12 hip replacement in the hospital -- and I am about to venture
13 into territory I know nothing about, and I am talking to
14 physicians, so this is going to go pretty rough from here on
15 out.

16 DR. CROSSON: Well, if you ever want your hip
17 replaced by a pediatrician, give me a call.

18 DR. MILLER: Right.

19 [Laughter.]

20 DR. MILLER: And I have assumed at this point, in
21 this country, I probably can't get a hip replacement.

22 If the surgery occurs in the hospital and then

1 they go out into, let's just say, 14 days of therapy, would
2 you be thinking that then the hospital would reconfigure
3 itself to deliver that therapy, or the hospital would just
4 say I'm now responsible for this, and I might send them to
5 an IRF or I might send them home?

6 DR. CROSSON: I guess I was assuming that the
7 hospital would reconfigure its set of capabilities to take
8 care of these patients. Since it is only three hours a day,
9 it looks like it is directed in the IRFs, not at the
10 patients who are the most severely ill but moderately ill.
11 So I would imagine that it would be within the capability of
12 an acute care hospital to do this.

13 In addition, as I think was pointed out in the
14 paper, many of the IRFs are actually sections of acute care
15 hospitals, right?

16 MR. HACKBARTH: This line of discussion is
17 focusing on substituting extended acute care hospital for
18 IRFs, but the whole gist of this analysis is that the SNFs
19 are lower cost providers of these services, and so the
20 question would be can extended care and acute care hospitals
21 be lower cost than SNF.

22 DR. CROSSON: Exactly. So if you look at the 500

1 million, that is not chump change, but my question -- and I
2 am sorry to divert this. I will shut up in a minute. But
3 the question was if we had a different look at this and said
4 why can't this be done in acute care hospitals, would that
5 number be substantially different?

6 DR. MILLER: So, again -- and, actually, just one
7 minor point. The three-hour rule, if I understand this, is
8 the patient has to be able to sustain three hours of
9 therapy. It is not that they only get three hours of
10 therapy. Is that right? But I don't know how many hours of
11 therapy they get.

12 MS. KELLEY: That's right. The three hours is
13 sort of a benchmark for judging intensity of therapy, and
14 they need to be able to sustain that.

15 DR. MILLER: Right. I don't know exactly -- I
16 wouldn't assume that they are just getting three hours of
17 therapy.

18 Again, I think we can go through the mathematical
19 exercise. Actually, I was going to speculate on which
20 direction the number would go, but I am not going to.

21 MS. BUTO: Mark, wouldn't you have to include some
22 of the practitioner therapy costs in that cost analysis?

1 Because I don't know how many of those are already sort of
2 bundled into the payments that SNFs or IRFs get and which
3 ones -- if it were a hospital, for example, which ones would
4 be billed separately.

5 DR. MILLER: I can look at that, but here is the
6 way I would -- I'd have to think about this. Yeah.

7 DR. CROSSON: [Off microphone.]

8 MR. HACKBARTH: Now that we have clarified that
9 question --

10 [Laughter.]

11 MR. HACKBARTH: I think you have violated Round 1
12 rules, Dr. Crosson.

13 DR. CROSSON: Not quite clarifying.

14 MR. HACKBARTH: Right.

15 So other clarifying questions? Alice and then
16 Craig.

17 DR. COOMBS: On Table 8, page 18 in the handout,
18 it actually does a great job of comparing the readmission
19 cost. I just wanted to bring that up, because it's actually
20 already there, looking at the end result, and even with the
21 readmission cost, it looks like it is a ratio of spending
22 IRFs to SNFs of 1.42.

1 [Pause.]

2 DR. CARTER: Was there --

3 DR. SAMITT: On Slide 19, can you clarify your
4 comments about refining the CMGs in weights, so that there
5 is budget neutrality? I didn't understand whether you
6 implied that that would erode some of the savings that are
7 referenced on Slide 18 --

8 MS. KELLEY: No.

9 DR. SAMITT: -- or what you meant from a budget
10 neutrality perspective.

11 MS. KELLEY: So we would maintain budget
12 neutrality for non-site neutral cases. So we would want to
13 be careful in continuing the IRF PPS for the non-site
14 neutral cases. We want to have case mix groups and weights
15 that accurately reflect the non-site neutral cases as
16 opposed to all the cases, as they currently do. So once we
17 remove certain cases from coverage under the IRF PPS, there
18 may be a need to regroup some of the cases, some of the case
19 mix groups, regroup some of the cases into new or different
20 case mix groups and then to recalculate new weights for
21 those groups in order to accurately reflect the average cost
22 for those cases.

1 DR. SAMITT: So is there any kind of subsidization
2 at this point that once you regroup those non-site neutral
3 cases, that the cost overall for that group goes up?

4 MS. KELLEY: We have not done that analysis.

5 DR. SAMITT: Okay. Then my other question is on
6 Slide 3. Just to help me reconcile the fact that you talked
7 about the most severe cases are going to SNFs, not IRFs, and
8 yet the Medicare requirements have greater intensity for MD
9 and RN oversight in IRFs, not SNFs. So does this reflect
10 the complexity of the other 90 percent of cases that are
11 typically seen in IRFs, that they have a higher level of
12 medical intensity, or how do we reconcile the fact that the
13 more sick and old patients are in SNFs and yet the clinical
14 need requirements are higher in IRFs?

15 MS. KELLEY: Well, I think there's a couple of
16 things going on here. The first is that an IRF has to be
17 licensed as an acute care hospital, so there are
18 requirements that go along with that, that set these
19 parameters. Then they have additional requirements.

20 Remember, the IRF status was created in a way when
21 the inpatient acute care hospital PPS was put into place in
22 '83. So some of these requirements were intended to

1 differentiate a rehab facility from an acute care hospital,
2 so there's sort of that overlay.

3 The cases that we looked at here were just 10
4 percent of the IRF cases, as you noted, but the work that
5 Carol and Sara did in the spring was a bigger chunk of
6 cases, and I think you had similar findings about intensity
7 then.

8 DR. CARTER: Yeah. Well, those were other
9 orthopedic conditions and stroke, so we did find the same
10 thing.

11 MR. HACKBARTH: So what I hear you saying, Dana,
12 is that the IRF requirements are historical artifacts; they
13 are not analytically based, based on the needs of patients.

14 MS. KELLEY: Yes. They are longstanding
15 requirements that may or may not reflect the current needs
16 of patients.

17 MR. HACKBARTH: Okay. Clarifying questions. Any
18 more over here? Jack and then Jon.

19 DR. HOADLEY: On Slide 18, when you're looking at
20 the total, here you are focusing on the change in spending,
21 but what is the total amount of both spending and cases that
22 are now represented by the 17 new conditions and the

1 orthopedic conditions combined? Do you have those?

2 DR. CARTER: I think it is about 20.

3 DR. HOADLEY: And the same dollars in patients,
4 about the same?

5 DR. CARTER: Yeah, yeah, the dollars and case
6 accounts go about together.

7 DR. HOADLEY: Thank you.

8 DR. CHRISTIANSON: Carol, could you clarify for me
9 the last couple lines in Slide 11?

10 DR. CARTER: This was our attempt to see whether
11 IRFs are taking easier or harder cases in markets where
12 there are both types of facilities, but because we didn't
13 have comparable, functional assessment data, what we did was
14 we looked at the function of patients in SNFs in both of
15 those markets, and we thought, well, if IRFs take more
16 complicated cases, we're going to see that in the SNF data,
17 and if they take less complicated cases, we will see that in
18 the SNF data. And that's what this analysis was trying to
19 get at.

20 It's a proxy for trying to measure the function of
21 patients in SNFs, and what we looked at were 15 different
22 MDS measures of both function, self-care, and impairments,

1 and we found that, typically, SNF patients have either lower
2 functional status or comparable status when there is an IRF
3 in the market. And that led us to think that maybe the IRFs
4 were taking higher functioning patients, leaving the lower
5 functioning patients for SNFs.

6 DR. CHRISTIANSON: I am a little confused. Should
7 the last two words be "without" then, should be without IRFs
8 then?

9 DR. CARTER: Oh, it should be, yeah. Sorry.

10 DR. CHRISTIANSON: Okay.

11 DR. CARTER: Right. I'm sorry. No wonder you're
12 confused. Yes.

13 DR. CHRISTIANSON: I'm always confused.

14 [Laughter.]

15 MR. HACKBARTH: Okay. Any other clarifying
16 questions?

17 [No response.]

18 MR. HACKBARTH: Okay. Let's move to Round 2 then,
19 and what I would like to do is first invite comments or
20 questions related to whether stroke patients should be
21 included within the site-neutral policy. Who would like to
22 address that? Mary and then Alice.

1 DR. NAYLOR: First of all, thank you. The
2 additional analyses related to stroke were very, very
3 helpful.

4 I know a really important goal of this Commission
5 is to try to figure out a way. Payment enables clinicians
6 to make the best judgments on behalf of patients and their
7 families. In some ways, some of the data that you uncovered
8 suggests that that might be happening.

9 On page 12, you talk about patients with paralysis
10 are more likely to be seen in IRFs, unless the paralysis is
11 on a dominant side or is not likely to result in recovery.
12 So, to some extent, there is some indication that the
13 current system is getting people at least into IRFs who are
14 distinguished from SNFs because we can see a path to
15 recovery.

16 I think the biggest challenge with stroke,
17 generally, is that unlike hips, patients with hips and
18 patients with femurs, there is this known trajectory of
19 recovery, and stroke is much less predictable.

20 The other is that as we look at the outcomes we
21 have available to us, 30-day and mortality -- that self-
22 care, of course, is a different, positive outcome -- that we

1 don't often have the measures that are most meaningful,
2 which is long-term recovery, return to job, productivity, et
3 cetera. And that is just because the evidence is not there.

4 So I guess I am saying I think stroke presents a
5 challenge because of the lack of predictable nature, and
6 then I wonder about the administrative cost of trying to
7 create all of these changes at the same time we're trying to
8 promote clinician's appropriate judgment.

9 That all being said, I support site-neutral
10 policy, wherever it is, really a trajectory we understand
11 and where two site settings can produce the same.

12 So, while conceptually I support it, with stroke I
13 am a little bit concerned because our goals here are long
14 term. They're not just about 30 days or post-acute and so
15 on.

16 DR. COOMBS: For stroke, speaking specifically
17 about strokes, stroke represent a syndrome of a whole
18 constellation of clinical presentations, so strokes are very
19 different in the sense that you can have a stroke, a small
20 or clinical stroke patient goes home, doesn't need to go to
21 a SNF or IRF, and you could have a devastating stroke.

22 I kind of understand the data, and the reason I

1 understand it is because, if I have a patient who has a
2 chance of a great recovery, I may steer them toward an IRF,
3 but if I have a patient with a lot of comorbid conditions
4 and then explain the sickest sick are sick over in the SNFs
5 -- because they're way over here, it is almost like a
6 bimodal distribution of who goes where, but I would
7 understand that. Because of the complicating illnesses, I
8 am going to say, "Well, this patient has the greatest
9 likelihood of meaningful recovery. So, therefore" -- I
10 think the market is doing this a little bit on its own right
11 now. I'm going to direct that patient to an IRF. I'm going
12 to direct this person to a SNF.

13 Where it doesn't happen, I think involves when
14 there are certain clinical things, such as cognition and
15 mental health. When they are layered on top of stroke, I
16 looked at the charts. It is clear whenever you see
17 cognition issues or any kind of cognitive deficit, I don't
18 care if they don't have diabetes or any of the other major
19 comorbid conditions. If they just have that one by itself,
20 it seems to be a predictor of recovery and decision-making,
21 so that those decisions would steer toward the SNF versus
22 the decisions to go toward the IRF.

1 It may be that there's some inherent problems with
2 just this whole notion of looking at cognition and mental
3 health, but I will bet you it is probably the rate-limiting
4 step for how decisions are made right now.

5 Because strokes are so heterogeneous and because
6 the presentation can vary, I think that to put it in the
7 site-neutral category can actually result in some other
8 behaviors, such that people will say, "Oh. Well, we'll send
9 you to a SNF because we know you had a stroke, and it
10 qualifies you," when, in essence, the patient could go home
11 or a home with a home health support. So it may actually
12 shift some decision-making into a more costly initiative
13 where you could have gone home, and so that would be one of
14 the things that I'd be afraid of is that people are making
15 decisions -- clinicians are making decisions right now to
16 send people home when it's appropriate, and they don't need
17 SNFs, they don't need IRFs, and I think that's a concern
18 that I would have with site-neutral on stroke.

19 It's just the heterogeneity of the presentation
20 and understanding that the market is doing some things on
21 its own right now, and I think in talking to some of the
22 neurologists, they are very clear. It is hard with strokes

1 in the sense that you could have a hemorrhagic stroke -- or
2 not a hemorrhagic stroke -- you have a stroke that presents
3 and it seems like it's straightforward, and all of a sudden,
4 you give them TPA or heparin and they deteriorate
5 significantly.

6 I think I talked about giving maybe site neutral
7 for TPAs, strokes that are uncomplicated, but then they are
8 not uncomplicated, and then they have a series of issues.

9 So I'm not quite sure that strokes fits into this
10 category.

11 DR. BAICKER: So my reaction to stroke is sort of
12 my reaction to the whole thing, which is that site-neutral
13 payment makes a lot of sense, and in principle -- you write
14 down the principle. The principle is straightforward. And
15 then we start unpacking for, I would suspect, almost any
16 given condition. There's a lot of heterogeneity --
17 conditions even when we focus on ones that are treated in
18 two different settings commonly, of course, there are
19 differences.

20 And so the question is: Does that undermine the
21 idea of site-neutral payment overall? And my reaction is
22 no. The problem would be if systematically people know

1 which patients are going to be attracted or to be avoided
2 and steer them that way accordingly because of financial
3 incentives rather than what's best for the patient. If
4 you're underpaying in one setting, that's as bad as
5 overpaying in another setting.

6 So is this a case where systematically it's going
7 to be wrong for a big enough group of people that you're
8 going to end up with patients not at the site of care that
9 is most effective for them? Or is it going to be sometimes
10 wrong, not wrong by so much in a really predictable way --
11 it's the predictable way that creates the incentives. The
12 unpredictable, you thought somebody was stable, and then
13 they need more care. That's going to happen both ways, and
14 that's not a problem as long as there are enough resources
15 in the system that sometimes it's a little high and
16 sometimes it's a little low.

17 So for me, the key question is the magnitude and
18 predictability of how wrong you are, and I'm not -- I leave
19 it to the clinicians to say whether stroke is on the bad end
20 of that spectrum or the less bad end of that spectrum. The
21 fact that we see these patterns of overlapping care and that
22 there do seem to be some predictors, whether it's paralysis

1 or cognitive functioning or something that could be coded
2 ahead of time into its own group predictively, suggests that
3 it's still a decent possibility as a test case for this.
4 But going down the road, for each of these things, there are
5 going to have to be some nitty-gritty decisions about who's
6 in which bucket, and then they're just not going to be right
7 all the time. And I think that we can live with that as
8 long as it's not a systematic problem.

9 MR. HACKBARTH: So your bottom line on stroke is?

10 DR. BAICKER: It seems to me to still have good
11 potential, but I really would defer to the clinicians.

12 MR. HACKBARTH: Okay. So other comments on
13 stroke?

14 MS. BUTO: I would say on stroke, no, and the
15 reason would be it seems like we have so much variability
16 that we could be making a huge mistake. And it's one of
17 those irreversible conditions that it's not like you can go
18 back and fix it later. So I'd say no on stroke. I mean,
19 why not go into something like this -- and the principle is
20 a good one, site-neutral policy -- with the most confidence
21 that, in fact, what's going on in both settings is
22 essentially treating the same kind of patient, as Kate said,

1 and in a similar way. Then we'd feel confident that what
2 we're doing is a good thing.

3 So my question really follows up on Kate's, which
4 is for the other 17, how much homogeneity is there that we
5 feel confident?

6 MR. HACKBARTH: Let me come back to that.

7 MS. BUTO: All right.

8 MR. HACKBARTH: I wanted to do stroke first, and
9 then we'll go back to the other 17 in a second round. So,
10 Jack, on stroke?

11 DR. HOADLEY: So if I had to come to that decision
12 without any more discussion, I'd probably say no as well,
13 and I would base it on two things. One is we've got 20
14 percent of all of the IRF volume represented by the other --
15 if we end up at the 17 plus the ones we previously
16 discussed, so we've got a pretty good test of this approach
17 that's already going to hit a pretty good volume.

18 The other thing that struck me is this notion that
19 the subset of stroke patients -- this was on Slide 13 -- is
20 a combination of the most severely ill and the least
21 severely ill. And that kind of almost captures that notion
22 that, okay, so we're taking the two ends, leaving out the

1 middle, which really makes the notion of boundaries and -- I
2 mean, that just intuitively as a non-clinician seems
3 peculiar, even though there's a logic and it makes sense and
4 there's all the data that point in that direction. But it
5 feels like it sort of captures that kind of uncertainty and
6 that I would on that basis say let's -- we've got a good
7 volume of cases if we like the 17, in addition to the
8 original, that's a good test case, let's proceed that way.

9 DR. REDBERG: I would support site-neutral
10 payments and for stroke because I think, again, you know,
11 the data certainly shows that there's so much variability.
12 I was very struck by -- and, you know, I think it's great
13 that you did so much intensive interviewing with doctors and
14 people, clinicians, that are involved in the care of stroke
15 patients. But the fact that there was no consistency in the
16 markets between -- you know, it really depended on where you
17 were, whether you went to an IRF a SNF, kind of tells you
18 that there's nothing special about what IRFs or SNFs are
19 doing and that it really depends on a lot of local factors,
20 and that, again, any outcomes aren't very different in the
21 patient. And as Alice mentioned, I mean, there are other
22 choices, too, for stroke patients like going home with

1 physical therapy. And I think actually some patients --
2 most patients prefer to go home, and if we offered more
3 intensive home health physical therapy services, that more
4 patients would, I suspect, choose that option.

5 But, again, the whole principle of if you're
6 looking at what's best for the patient, you know, it's hard
7 to say that this separation, which is very artificial now
8 between IRFs and SNFs, is what's best for the patient.
9 We're spending a lot of money and these requirements, which
10 really is kind of, I think, forcing doctors to make choices
11 where to send stroke patients that they wouldn't make based
12 on what's best for the patient, and that having sort of a
13 range of services that can be individualized to the patient
14 and think about that would be the better focus, when now,
15 you know, I think everyone's trying to look at these lists
16 and sort of do what's according to the list, which is not
17 necessarily what's best for the patient. And the fact that
18 there's big differences in payments and not very big
19 differences in outcomes tells you that this is probably not
20 the best way to do it. Therefore, I think site-neutral
21 payments would be a better approach.

22 MR. THOMAS: Hearing Rita's point, I see that

1 there is definitely some rationale behind a site-neutral
2 payment here. The concern I have is that, at least in our
3 experience in our organization, we see that there is a
4 difference between the type of patient that would go to a
5 rehab versus the type of patient that would go to a SNF.
6 The type of patient that would go to a SNF would have more
7 medical conditions and usually more medication issues that
8 they need to have managed versus someone that would go to a
9 rehab would have more physical therapy needs than they would
10 necessarily see. And, frankly, SNFs for the most part are
11 not well positioned or well equipped to be able to deal with
12 those needs, and that would be my concern.

13 I'm not certain that if we went to a site-neutral
14 payment that it would just mean that it should be at the SNF
15 rate, quite frankly, because once again I think if you look
16 at the amount of SNF beds in the country, they continue to
17 decline, because I think that's a concern about whether
18 they're reimbursed appropriately for the type of severity
19 patient that they handle. People use SNF beds because they
20 want to have them out of the acute-care environment, the
21 patient out of the acute-care environment, which is more
22 expensive. But it is certainly a challenge.

1 So I think there are -- there is definitely some
2 rationale based on your data. I think it would be
3 interesting -- and I don't know if you've done this -- to
4 actually look at facilities and the types of capabilities
5 they have, because I think you're going to find they are
6 different. They are different in the types of capabilities
7 they have for patients in a rehab versus a skilled nursing.
8 I think Alice was saying it a little bit, if I understood
9 specifically, that you really kind of bifurcate and you send
10 patients to different areas depending upon the type of
11 situation that they have. And I think that's my concern in
12 just having one payment, although looking at your data and
13 hearing that there's not a lot of consistency and hearing
14 Rita's point, I mean, you can see that, you know, perhaps
15 that would make sense.

16 I'm not certain, based upon what you've indicated
17 here, that the SNF rate is the right proxy for that payment.

18 MR. HACKBARTH: Warner, you said that the number
19 of SNF beds is declining, which you see as evidence that
20 maybe the SNF rate is not -- you're referring to hospital-
21 based SNFs?

22 MR. THOMAS: Certainly in our region that's what

1 we see. You know, that's what we see, and I don't know what
2 that is nationally, but that's what we see in our region.

3 MR. HACKBARTH: Nationally, I think the number of
4 SNF beds is pretty stable.

5 DR. CARTER: Pretty stable, yeah.

6 MR. THOMAS: And I don't know if those are
7 hospital based or --

8 MR. HACKBARTH: Hospital --

9 DR. CARTER: Well, there was a decline in hospital
10 based, but I think that's been pretty stable now for four or
11 five years. But there was a large -- they used to make up
12 maybe 9 percent of the industry, and now they're about 5
13 percent.

14 And just getting back to your point, we heard that
15 version of what you say. We also heard exactly the opposite
16 version.

17 MR. THOMAS: Okay.

18 DR. CARTER: Where if patients needed a lot of
19 medication management, they wanted the around-the-clock
20 nursing coverage in SNF and physician coverage of IRFs. So
21 we heard completely different --

22 MR. THOMAS: And perhaps it is -- you know, it's

1 contingent upon the staffing and each individual facility.
2 I mean, perhaps that is what happens in these in a broader
3 fashion.

4 DR. CARTER: And I did want to remind everybody
5 that we have about 30 percent of beneficiaries living in
6 markets without IRFs.

7 MR. HACKBARTH: So if I could, let me just try to
8 characterize what I think I heard about the evidence. So
9 within a given provider, like Warner's organization, there
10 may be consistent patterns of this type of patient goes to
11 SNF and this type of patient goes to IRF. But I think what
12 I heard is that that may not be consistent across providers
13 within a market and certainly not consistent across markets.
14 Is that a fair --

15 DR. CARTER: That's right. People were very clear
16 in their rules, and there was no consistency in their rules.

17 MR. HACKBARTH: Right, okay.

18 DR. MILLER: And also -- and I think this is
19 implicit in the comments, but I think your experience is
20 very peculiar to your market. So if you don't have any IRFs
21 in your market, your SNFs behave one way; and then if you
22 have IRFs in the market, the SNFs kind of staff and behave

1 differently. And so I think that's also part of what you
2 could be experiencing in your market.

3 MR. HACKBARTH: Before I go to you, Kathy, did I
4 see another hand for a Round 2 comment on the inclusion of
5 strokes in site neutral? Let me get the people who haven't
6 been in yet.

7 DR. NERENZ: I'm not sure there's a lot to add,
8 but just following up particularly on Warner's comment, and
9 I'm struggling through this and thinking about a yes-no
10 answer to stroke. I'm trying to sort out what's the
11 difference between the rehab needs and the ongoing medical
12 care needs. And it seems confusing in the sense we just
13 talked about, that part of what you pay extra for in an IRF
14 now is the 24-hour RN coverage, which seems to be related to
15 medical issues, not to much rehab issues per se. And you
16 have the more frequent MD coverage. And I haven't quite
17 figured out in the comments yet in these patients with
18 stroke, is there a set of ongoing medical needs or some
19 medical instability that would justify the IRF?

20 And I think, Warner, what you just said sounded to
21 me sort of the opposite, that if those needs are present,
22 then you send people to the SNF.

1 So I think what I'm hearing is that any higher
2 level of medical instability in stroke patients does not
3 automatically say IRF and, yes, let's pay more for IRF. But
4 am I tracking this all correctly so far?

5 MS. KELLEY: So I think this goes back to what
6 Glenn was just saying, that what you just said I think
7 perfectly captures what we heard among some practitioners we
8 talked to, that when there are higher medical needs,
9 patients automatically go to SNFs. But we also heard
10 exactly the opposite, that higher medical needs require
11 greater nursing care, more medical attention from
12 physicians, and so that an IRF was a more appropriate place
13 for patients with greater severity of illness as opposed to
14 severity of stroke. So we heard both.

15 DR. SAMITT: What I've heard thus far in the
16 comments -- and I'm still trying to reconcile how I feel
17 about it -- is the presumption that if we create site-
18 neutral payments for stroke, that a majority of the cases
19 would shift to SNF from IRF. Well, what I read in the paper
20 would suggest that, while coupled with a site-neutral
21 payment, we also could make the recommendation of
22 liberalizing some of the requirements for IRFs for this

1 population, which means that some of the intense nursing or
2 medical care could actually be reduced, allowing IRFs to
3 reduce their costs.

4 So I'm not sure I agree that we're going to see a
5 full-scale shift. There may still very well be the case
6 where certain cases are still referred to IRFs and IRFs are
7 able to manage under a lower reimbursement level those
8 admissions, because the intensity of the cost to manage
9 those patients goes down.

10 The other comment that I would make is we talk
11 about sort of site-neutral equivalency, but maybe we don't
12 even have to go as far as that, to Warner's point. Maybe we
13 say, all right, we reduce the payments for stroke to IRFs,
14 but we don't bring it all the way to a SNF level. And I
15 know the proposal also was a three-year window to blend the
16 rates. And so maybe that's a perfect opportunity to see how
17 do IRFs do under a more blended rate model for three years
18 with relaxed requirements to see how this plays out over the
19 next few years, and we can always go back if it doesn't work
20 well.

21 MR. HACKBARTH: And that approach is, in fact,
22 what we used with LTCHs, where we, A, reduced the regulatory

1 requirements and, B, phase in the change. It does give you
2 an opportunity to sort of monitor what's going on.

3 MR. ARMSTRONG: I'm not sure that I have a lot to
4 offer, but not being burdened by knowing the specifics about
5 stroke patients, I just would say given the criteria we've
6 described and the position we've taken on this policy for a
7 whole host of other populations of patients, it seems to me
8 that there's a very logical and supportable extension of
9 this site-neutral payment policy to stroke patients, and
10 that it's not necessarily to steer volumes, but in a system
11 where referral patterns don't have, you know, consistency
12 across markets or even within markets across medical groups,
13 that's exactly the kind of situation that a site-neutral
14 payment policy is relevant and a way of better using the
15 Medicare program's limited resources.

16 MR. HACKBARTH: Okay. So have I missed anybody
17 who hasn't spoken yet who wants to address the issue of
18 including strokes in site-neutral policy?

19 MS. BUTO: It occurs to me that this falls in the
20 category of a group of patients who are being served by
21 multiple different approaches, including home health and
22 rehab and so on, that beyond this issue of site neutral, we

1 probably should look at are there clear protocols for
2 different levels of stroke patients and potentially a post-
3 acute care bundle that could advance more than just a site-
4 neutral policy between IRFs and SNFs, but really advance
5 better care with outcomes measures in the least, you know,
6 burdensome, most beneficial way.

7 So I see this as a perfect -- especially if it's,
8 what, \$200 million just on these two providers that could be
9 saved in a site-neutral policy. This has got to be a
10 growing issue in Medicare where the patient group itself is
11 so large but variable that there ought to be more than site
12 neutral that we can look at as a way to address the issue.

13 MR. HACKBARTH: Yes, and I agree, Kathy, and
14 thanks for highlighting that. This, pursuing site-neutral
15 policy for IRF and SNFs, is not instead of pursuing
16 potential options that would involve bundling, including
17 post-acute care. It's not an either/or. Getting to a post-
18 acute care bundle is something that we've talked about
19 multiple times, and, you know, there are some demonstrations
20 now being organized about bundling post-acute with hospital
21 acute care.

22 So the way I think of this is what do we do while

1 the bundling approach is developed, tested, et cetera. But
2 it's not an either/or.

3 MS. BUTO: I just think that at some point -- I
4 don't know if we're keeping a list, but this is a huge group
5 that both from a clinical standpoint and a cost standpoint
6 could be a good target for that kind of work, whether CMS
7 does a demonstration or not. And, you know, we ought to be
8 able to identify those subcategories where there could be
9 real benefit to...

10 MR. HACKBARTH: For the appeal of bundling is that
11 then you have decisions made by clinicians who are really
12 well informed about the capabilities of the local SNFs and
13 IRFs, and all of the -- home health and all of the
14 alternatives. And I think ultimately that's better for
15 patients. But the nature of these things is we're not going
16 to get there quickly, and so we need to do some things in
17 the old structure to try to improve it in the meantime.

18 I want to get to the other 17 conditions. Alice,
19 on stroke?

20 DR. COOMBS: It's on this [off microphone].

21 MR. HACKBARTH: Yes.

22 DR. COOMBS: I think one thing that I thought

1 about -- and it's in the back of my head, and I might as
2 well put it out there -- is some decisionmaking is based on
3 resuscitation status, DNR, and I didn't say it earlier, but
4 that may be that push toward the real complex, you know,
5 less likelihood of recovery from all the conditions that are
6 co-existing with a stroke. So I wonder if we could look at
7 that. I bet you it's going to reveal something very
8 interesting.

9 MR. HACKBARTH: Okay. So we will do Round 2A now,
10 and here, I would like people to address the other 17
11 conditions that have been proposed for inclusion. Of
12 course, a central issue here is the criteria by which those
13 were chosen.

14 The reason I am trying to be a little bit more
15 directive today in Round 2 is that we are getting close, I
16 hope, to the point where we are going to formulate a draft
17 recommendation, so I need some information to do that.

18 On the other 17 conditions and the criteria used
19 to develop them, what do people think?

20 [No response.]

21 MR. HACKBARTH: Let's see. Silence could be "I
22 don't understand the question." I could be assent; it could

1 be dissent. I need a little bit more.

2 [Laughter.]

3 MR. HACKBARTH: Dave.

4 DR. NERENZ: Well, this just echoes what I asked
5 earlier about stroke, but I'm trying to understand here, as
6 well.

7 Among the features in these 17 conditions that are
8 used in the analysis was comorbidity, and perhaps for the
9 clinicians or others, as that plays out in this period of
10 time when people are in one setting or another, is the
11 comorbidity something that involves active medical
12 management that might conceivably be different in the two
13 places, or is it something that relates to the ability to do
14 the rehab part, more or less? And I understand it could be
15 both.

16 DR. CARTER: So we measured it in two different
17 ways. One would be the comorbidities the patient had and
18 was being managed while they were in the hospital, and that
19 was looking at secondary diagnosis on the hospital claim.

20 But we also looked at the HCC scores, and I will
21 remind you that that is looking at the diagnosis over the
22 past year that the patient had, so it is sort of a better

1 measure maybe of chronicity, and so we tried to get at both
2 of those by looking at two different ways.

3 DR. NERENZ: Okay. But in either of those, we
4 don't know precisely whether these things are under some
5 kind of active, almost daily medical management.

6 DR. CARTER: Oh, I think they would be. That
7 would be my guess. I'm not a clinician, but many of the
8 chronic conditions would require active management in the
9 hospital, I would think.

10 DR. NERENZ: Like by a nurse or doctor to --

11 DR. CARTER: Yeah.

12 DR. NERENZ: That last thing surprised me a little
13 bit because it would seem that in some these settings, a lot
14 of these comorbidities are stable, and you need to sort of
15 be aware of them. But I guess that is the essence of the
16 question, because some of the other things you have said
17 about outcomes and this idea of sending the sickest patients
18 to the SNFs, again, suggests that whatever additional
19 capability the IRFs have as an acute care hospital is not
20 actually being linked reliably, regularly to medical
21 management.

22 Now I am applying that set of questions to the 17.

1 DR. CARTER: Well, I guess I was thinking of
2 medication management, which could happen both in a SNF and
3 an IRF.

4 DR. NERENZ: [Off microphone.]

5 DR. CARTER: Right, yeah. And we heard completely
6 different stories about that. Yeah.

7 DR. MILLER: The only thing I wanted to add to
8 that is, so the profile is that you end up with the more
9 complex patients in SNF, generally, as a general statement,
10 and for the set of conditions that we are talking about now,
11 the majority of the time, the patient is dealt with in the
12 SNF.

13 So I can't answer your question, like what
14 actively is going on at any point in time, but the majority
15 of the time, whatever is going on is going on in the SNF,
16 and the most complex patients end up there. That was all
17 factually --

18 DR. CARTER: It was all factually correct, yes.

19 MR. HACKBARTH: Okay. I had Warner and Mary.

20 MR. THOMAS: This may be more of a clarifying
21 question, so I apologize.

22 For the IRF cases where you have cardiac and

1 infections, what types of cases were those? It just seems
2 interesting they would be going to a rehab for treatment.
3 Was there anything that you learned as you were digging into
4 this?

5 DR. CARTER: No. We didn't look at that
6 specifically.

7 If you look at the case mix groups of IRFs, they
8 are not all orthopedic. They include many different types
9 of major reasons for rehab, and they include cardiac, they
10 include infection, and they include pulmonary, so --

11 MS. KELLEY: And a category of condition is
12 debility. So, if someone has been in the acute care
13 hospital for a long period of time and has lost a lot of
14 their strength --

15 MR. THOMAS: I got it. These are probably long
16 length of stay in acute care, so they are being sent to
17 rehab because of the mobility issues. I got it.

18 Okay, thank you.

19 DR. NAYLOR: So, unlike stroke, I actually believe
20 the bigger concern here is the least severely ill who
21 generally do not need either SNF or IRF and who could be
22 cared for elsewhere, in their homes. I am very concerned,

1 so, again, supporting site-neutral policy but also
2 recognizing here is not where we want to motivate nursing
3 home residents to be moved to SNFs for septicemia, urinary
4 tract infections, other problems, conditions that could be
5 very well earlier identified in the nursing home, the long-
6 term care part, and not be a basis for movement.

7 So I really think that as we move in this general
8 direction, we pay very close attention to where other sites
9 might be much more appropriate, including people's homes or
10 the nursing home where they live.

11 MR. HACKBARTH: We still would have the three-day
12 rule for SNFs, which is sort of the wall between taking
13 nursing home residents and moving into SNF care.

14 DR. NAYLOR: Yes.

15 MR. HACKBARTH: Let's see. Craig? Anybody else
16 want to get in on this? Alice.

17 DR. SAMITT: For all the reasons that have been
18 discussed previously in the rationale for site-neutral
19 payments, I would support the methodology and the conditions
20 described.

21 I think that if there isn't sufficient appetite
22 for the full list of 17, one compromise could be we set a

1 higher threshold for the percentage of cases that are seen
2 in SNF versus IRF, so we set a bar at 75 percent instead of
3 50 percent, and the large majority of these 17 are still
4 more than 75 percent managed today in SNF. Maybe we start
5 there with those 12 or some odd cases, and we can always
6 extend to the broader 17 as needed.

7 MR. HACKBARTH: As you noted earlier, Craig,
8 another feature of this is the transition, and so those are
9 sort of two ways to accomplish a similar goal, focus the
10 list of conditions or transition.

11 DR. SAMITT: Absolutely. The same would apply.
12 If we have a blended rate for these 17, as well, it would
13 give the IRFs the opportunity to either reduce the cost --

14 MR. HACKBARTH: Yes.

15 DR. SAMITT: -- and see if this is a problem over
16 a three-year period.

17 MR. HACKBARTH: The question I am trying to get
18 at, would you rather go with a shorter list and a higher
19 threshold and no transition or a longer list, lower
20 threshold, and transition? Let me ask it that way.

21 DR. SAMITT: I prefer consistency, so I would say
22 the longer list over the current three-year proposed blended

1 rate.

2 MR. HACKBARTH: Make it consistent with our LTCH
3 approach.

4 Let's see. I have Alice and then Kathy.

5 DR. COMBS: First of all, thank you, Carol and
6 Dana. This is excellent.

7 I, too, agree with Mary. Unlike stroke, these
8 conditions are relatively predictable. They are well
9 described in terms of how they present clinically. I agree
10 with the conditions on this list.

11 Of interest is the ratio, IRF-to-SNF payment, and
12 that is very revealing, I think, looking at the conditions
13 and the gradient that exists between the two sites.

14 MS. BUTO: Yeah. I think this is also a
15 clarifying question, but it occurred to me that beneficiary
16 liability or copayments would be different in these two
17 settings, and I wonder if you had done that analysis and
18 also looked at the length of stay differences for these
19 different conditions. Let' assume that we are essentially
20 incenting the use of SNFs rather than IRFs by moving to the
21 policy, which seems like definitely what we're doing here.
22 If SNFs tend to have longer lengths of stay and that has

1 implications for beneficiary co-insurance, I would be
2 interested to know what that is.

3 So I think there is something we are not seeing
4 here, which is what is happening to the bene, are they going
5 to be saying longer than they might in an IRF, that kind of
6 thing. I'd just be curious. I know it doesn't change the
7 payment ratio, but --

8 DR. CARTER: Right. So I do know what the lengths
9 of stay are by condition. It was just a lot of information
10 I didn't include. So I can definitely get that to you.
11 They are much longer in SNFs.

12 Particularly for these 17, the majority are being
13 seen in SNFs, and so they have longer lengths of stay.
14 That's the practice pattern now.

15 If you move these IRF cases to SNFs, their
16 occupancy rate would go up very modestly. For IRFs, this is
17 a big chunk of -- this 10 percent or something is a large
18 share of their business. For SNFs, it's very -- it's a
19 small increase in the in volume. So I am not sure that it
20 would affect SNFs in that way.

21 You are right that the bene copays would kick in
22 on day 21 for patients that had -- for patients who might

1 have been going to an IRF but now are going to go to a SNF,
2 they will incur copays starting on day 21. We can think
3 about how that would affect on average, what that would
4 mean.

5 MS. BUTO: I just think it is something we should
6 be aware of if we are promoting the policy.

7 DR. CARTER: Yeah. Right.

8 DR. MILLER: But I also wouldn't assume that there
9 is a wholesale shift here. If you relieve the regulatory
10 requirements, IRFs may want to stay with this group of
11 patients. Assuming they are paid at the SNF rate, I don't
12 know the profitability of these specific cases, but SNFs
13 have relatively high -- very high profit margins in
14 Medicare, generally, and the IRF, relieved of the regulatory
15 requirements, may still want to see this patient.

16 I don't think everybody should walk around
17 assuming all these patients --

18 DR. CARTER: Wholesale move, right.

19 DR. MILLER: Move, because I don't --

20 DR. CARTER: Also, I was curious to hear Jeff's
21 findings yesterday about how much of a facility's cost are
22 variable. So I would assume that IRFs are going to have --

1 if they don't have to meet all of the regulatory
2 requirements, they are going to have the flexibility and can
3 lower their costs if IRF cost structures act in the same way
4 that the acute care hospital structures do.

5 MR. HACKBARTH: Okay. Jack and then Rita and
6 Scott, and we're getting -- actually, we have 15 minutes
7 left. Jack, Rita, Scott.

8 DR. HOADLEY: So just following on Kathy's point,
9 is there a cost sharing in the IRF?

10 DR. CARTER: There is, but most of them meet it
11 with the first acute hospital stay.

12 DR. HOADLEY: So there is no additional cost if
13 they have been --

14 DR. CARTER: There is no additional cost.

15 DR. HOADLEY: Okay.

16 DR. CARTER: I mean, some, 10 percent of IRF
17 patients are direct --

18 DR. HOADLEY: Are direct, okay.

19 DR. CARTER: But most of them --

20 DR. HOADLEY: So my overall view on this is I
21 haven't heard any reason not to go forward with this. I'll
22 say that, oddly negatively. It is a good principle, and

1 there don't seem to be any issues that are complicating
2 this, the way there were some issues around the stroke.

3 DR. REDBERG: And I will say I think there are
4 reasons to go forward with it because it seems to make more
5 sense in terms of Medicare spending and patient outcomes to
6 have a longer list for site-neutral payments and relax
7 regulatory requirements for IRF, so that we are better able
8 to spend money on things that beneficiaries need and not on
9 things that they don't need because of regulatory
10 requirements.

11 Again, I will just say I think for a lot of these,
12 if we increased what was available, discharge at home, that
13 a lot of beneficiaries would prefer to go home, with therapy
14 at home. That may be a better outcome.

15 MR. HACKBARTH: Scott.

16 MR. ARMSTRONG: Yeah. Just briefly, a comment and
17 then a question.

18 To affirm, I believe we should be moving with
19 site-neutral payments for the full spectrum, 17 conditions,
20 including stroke. I think we have talked about this for
21 many, many months. We had asked a lot of very good
22 questions, and Staff now have come back with extensive

1 answers to all of our questions.

2 It is a policy we have applied in a lot of other
3 areas with very similar issues, and I think it is a
4 responsible way of making sure we are spending the Medicare
5 program resources as we should be.

6 The question I have is, one more time, it does
7 feel as if we are trying to work within a fee-for-service
8 payment structure that in and of itself has issues. Glenn,
9 you referred to the status of post-acute bundled payments.
10 I was hoping we could just remind ourselves of what really
11 is the status of that, based on my belief that that actually
12 offers a much better solution to a lot of the issues we are
13 talking about.

14 DR. CARTER: So on the bundling initiative,
15 there's been a second round of applicants that are now
16 participating in the program. Lots of participants and
17 conveners got data and are in sort of Phase 1, which is
18 deciding really if they want to move to the second phase,
19 which is being at risk.

20 Different participants signed up for a lot of case
21 types and not many cases types, and they have until April to
22 decide whether they are going to move forward to be at risk.

1 So it is moving along. There's lots of interest.
2 That's the good news, but most entities still haven't moved
3 to the at-risk phase, so it is going to be a while.

4 DR. CHRISTIANSON: Carol, remind me. The dollar
5 value of the bundle is constructed based on the fee-for-
6 service system, right?

7 DR. CARTER: You mean in the Medicare
8 demonstration?

9 DR. CHRISTIANSON: Yeah.

10 DR. CARTER: Yeah.

11 DR. CHRISTIANSON: So what we do here, assuming
12 the recommendation was accepted, will feed into the dollar
13 value of the bundle. So they aren't totally disconnected?

14 DR. CARTER: Right, in the same way that it kind
15 of feeds into --

16 DR. CHRISTIANSON: Exactly.

17 DR. CARTER: -- the framework of ACOs. That's
18 right.

19 MR. HACKBARTH: Let's assume. We turned the clock
20 forward, and it's however many years from now, and the demos
21 have worked, however that is measured. Then sort of a next
22 level of question, is this implemented everywhere, all

1 providers required to participate in a new bundled system,
2 or is it an option that people have to assume responsibility
3 for post-acute care under a bundle? If it is the latter,
4 which is sometimes the easier course politically, then you
5 still have the old siloed system running alongside, and we
6 got to make sure that it works as best as we can make it
7 work.

8 I am a fan of bundling, as everybody well knows,
9 but we just won't get there overnight.

10 Cori, we haven't heard from you.

11 MS. UCCELLO: Yeah. I have nothing really new to
12 add, but I felt like I should get myself on the record.

13 Like Scott said, and many others, I, too, am fully
14 supportive of this site-neutral approach, and I think it
15 makes a lot of sense for these 17 new conditions that we
16 have identified.

17 Like many of the others, I am less comfortable
18 with the stroke, but in general, I think this is something
19 that we should pursue and hoping that we eventually get back
20 to some of the more bundling types of approaches.

21 MR. HACKBARTH: Anybody else want to address the
22 17 conditions and the criteria?

1 [No response.]

2 MR. HACKBARTH: This is how I interpret that. I
3 think we have got a pretty strong consensus in favor of the
4 17 and the criteria used to select them and the idea of
5 relieving regulatory requirements on the IRFs and doing a
6 transition, as we had recommended with LTCH in a toughly
7 analogous situation.

8 Opinion is more split on the issue of whether to
9 include strokes.

10 Consistent with how I usually handle these things
11 and try to move based on consensus, I don't hear a consensus
12 on the stroke side, and so I am inclined to set that aside
13 for now, and we will bring back a draft recommendation
14 focused on the 17 and do that for the next meeting.

15 MS. BUTO: We mentioned the bundled issue, post-
16 acute bundled issue was part of --

17 MR. HACKBARTH: Sure.

18 MS. BUTO: -- the next round of this paper as a
19 longer term objective.

20 MR. HACKBARTH: Yeah, sure. Sure, we can do that.

21 DR. MILLER: Jim, did you have question?

22 MR. HACKBARTH: About the timing, is that okay,

1 Jim?

2 DR. MATHEWS: Yeah, that's fine. My hesitation
3 was just the recommendation would be for the 17 new
4 conditions and the orthopedic conditions we discussed
5 previously, correct?

6 MR. HACKBARTH: Yes. Right, correct.

7 DR. CARTER: Yeah.

8 MR. HACKBARTH: Thanks, Jim, for that addition.
9 Jack.

10 DR. HOADLEY: That was actually my question, too,
11 is whether we had gotten as far in the recommendation on
12 orthopedics. I didn't think we had.

13 MR. HACKBARTH: Yeah. I'm sorry for that
14 oversight. Thanks for the reminder.

15 Okay. Any other comments before we close those?

16 [No response.]

17 MR. HACKBARTH: Okay. Thank you, Dana and Carol.
18 Great work.

19 [Pause.]

20 MR. HACKBARTH: So Nancy and Katelyn have the
21 easiest topic, which we reserved for last -- developing
22 payment policy to promote use of services based on clinical

1 evidence.

2 MS. RAY: Thank you for that intro.

3 [Laughter.]

4 MS. RAY: Good morning.

5 At the March and September 2014 meetings, we
6 discussed linking Part B drug payment to clinical
7 comparative effectiveness evidence in fee-for-service
8 Medicare. Medicare's fee-for-service payment policies
9 generally reflect the cost of a service, not its clinical
10 effectiveness relative to its alternatives.

11 We specifically discussed Medicare's application
12 of least costly alternative policies between 1995 and 2010.
13 For two or more drugs that clinicians prescribe for the same
14 condition and produce a similar health effect, the policy
15 bases the payment rate on the least costly product.

16 The Commission discussed restoring the Secretary's
17 authority to apply least costly alternative policies to Part
18 B drug payment. The intent of LCA policies are to obtain
19 the best price for beneficiaries.

20 We discussed these three case studies during the
21 September meeting. Both CBO and the OIG have shown that
22 basing Part B drug payment on the last costly product would

1 help beneficiaries obtain a better price. In addition, the
2 OIG, in the 2012 report, recommended that CMS consider
3 seeking legislative authority to implement LCA policies for
4 Part B drug classes under appropriate circumstances.

5 At the September meeting, you asked us to look at
6 two additional approaches that link clinical evidence to
7 Part B drug payment.

8 The first approach we call consolidated payment
9 codes, which combines drugs with similar health effects used
10 to treat a given condition into a single payment code.

11 The second approach is bundling under which
12 Medicare would establish one payment for services, including
13 Part B drugs and other medical services, furnished across
14 one or more settings and by one or more providers during a
15 defined period of time for a given condition.

16 Before we start discussing these new approaches, a
17 quick review about how Medicare pays for Part B drugs, which
18 we also discussed in September.

19 Most Part B drugs are injectable drugs
20 administered by physicians in their offices or hospital
21 outpatient departments. These drugs include chemotherapy
22 products and products that treat retinal eye disorders.

1 Medicare pays providers 106 percent of a drug's
2 average sales price, ASP. ASP is a market-driven price. It
3 is the manufacturer's average transaction price for sales to
4 all purchasers net of rebates, discounts and price
5 concessions.

6 ASP gives providers the incentive to seek the
7 lowest price to purchase the product since they are paid 106
8 percent of ASP regardless of their acquisition cost.

9 Under the MMA, most brand name drugs and biologics
10 are paid based on their own ASP base payment rate and are,
11 thus, assigned to separate payment codes.

12 Separate payment codes could motivate some
13 providers to select the higher-cost drug among a group of
14 drugs with similar health effects used to treat a given
15 condition because the higher-cost drug yields a greater 6
16 percent add-on than the lower-cost drug.

17 The intent of consolidated payment codes is to
18 reduce providers' motivation to use the more costly product
19 among drugs that treat a given condition and result in a
20 similar health effect.

21 Under consolidated payment codes, two or more
22 drugs with similar health effects would be combined into a

1 single code. Medicare's payment would be based on the
2 volume-weighted average of the program's payment for these
3 products. This policy approach is intended to help
4 beneficiaries obtain a better price by reducing the revenue-
5 based add-on incentive.

6 So here is an illustrative example for you to
7 consider. We have two drugs that result in a similar health
8 effect that is being used to treat a specific condition.
9 Providers can purchase each drug at ASP; that is, ASP is
10 equal to providers' acquisition cost.

11 Drug 1's ASP is \$100. Medicare's payment rate of
12 ASP plus 6 percent is \$106. So the difference between
13 Medicare's payment rate and providers' acquisition cost is
14 \$6.

15 For Drug 2, its ASP is \$200. Medicare's payment
16 rate is ASP plus 6 percent, or \$212. And the difference
17 between Medicare's payment rate and providers' acquisition
18 cost for Drug 2 is \$12.

19 Under separate payment codes, drugs compete based
20 on the higher add-on. Some providers may be motivated to
21 select the drug with the greater add-on, and that would be
22 Drug 2.

1 Under the consolidated payment code approach, the
2 Medicare payment would be \$159 with each drug at 50 percent
3 of the volume. Compared to separate billing codes, the
4 consolidated payment code is intended to remove or minimize
5 the revenue-based incentive to select the more costly
6 product.

7 In this example, it would motivate providers to
8 select Drug 1 where the difference between providers'
9 acquisition cost and Medicare's payment rate is \$59.

10 Over time, if volume shifts to the lower-cost
11 product, the Medicare payment rate would decline, and price
12 competition between the products might increase.

13 Your briefing paper discusses some of the
14 implementation issues associated with consolidated payment
15 codes. These issues are similar to the issues associated
16 with implementing least costly alternative policies that we
17 discussed in September.

18 These issues include implementing a process that
19 is transparent and predictable. It would also -- other
20 implementations include implementing -- establishing a
21 process that would consider available comparative clinical
22 effectiveness evidence on drugs. It could make use of the

1 MEDCAC. And it could also obtain objective assessments of
2 the literature from the academic evidence-based practice
3 centers. It could also include a process for making
4 medically necessary exceptions.

5 At the September meeting, commissioners asked us
6 the frequency of medically necessary exceptions when
7 Medicare applied the least costly alternative policy for
8 prostate cancer drugs.

9 We tried to infer this from the Medicare claims
10 data by looking at the two higher-cost products in 2009,
11 when Medicare implemented an LCA policy for these products
12 in nearly all states.

13 Our preliminary analysis of the 2009 claims data
14 found that, looking at the 2 higher-cost products, 4 percent
15 of their claims were paid at their own payment rate; that
16 is, it was not paid at the rate of the least costly product.

17 Now Katie will discuss the notion of bundling.

18 MS. SMALLEY: As the Commission has discussed
19 previously, bundled or episode payment is a fixed amount
20 paid to a provider for a combination of drugs and services
21 that are required to treat a certain condition.

22 One area in which bundles have been explored is

1 oncology care. Bundles could be structured in different
2 ways. For example, an oncologist could be paid
3 prospectively for all chemotherapy drugs administered to a
4 patient during the episode period or for all care provided
5 in the hospital or for all cancer-related utilization in a
6 defined time period.

7 The logic is that by grouping drugs and services
8 together, and counterbalancing that with quality indicators,
9 bundling may incent a more efficient use of resources.

10 Bundles may be one way to encourage practice based
11 on clinical evidence, but some questions must be answered in
12 developing the design of the bundle or episode.

13 For instance, which conditions could be paid for
14 under a bundle?

15 What would trigger an episode, and which products
16 and services would be included?

17 How would providers be paid, especially when the
18 episode requires collaboration among multiple providers, and
19 how would the payment amount be established?

20 In this presentation, we will go into detail on
21 two examples. First, we will discuss Peter Bach and
22 colleagues' bundling proposal for cancer care in Medicare,

1 and second, we will outline UnitedHealthcare's episode
2 payments for oncology, which they have been piloting since
3 2009.

4 In a 2011 Health Affairs article, Peter Bach and
5 colleagues outlined a bundling proposal for cancer care in
6 Medicare. The bundle would be relatively narrowly defined.
7 They discussed covering the cost of chemotherapy drugs and
8 their administration during an oncology episode but
9 mentioned that more services could be incorporated into the
10 bundle over time. The design of the bundle would be
11 informed by evidence-based guidelines for cancer care, and
12 payments would be periodically readjusted to account for the
13 cost reductions associated with bundling.

14 In a bundle like this one, that covers primarily
15 drugs, the incentive is to use low-cost, but effective,
16 therapies. Bach noted that financial structures like risk
17 corridors or shared savings could also be built into the
18 model to strengthen the incentives.

19 Another advantage to this approach is that because
20 the scope of the bundle is limited the oncologist is in
21 control of the treatment regimen and few others would be
22 involved. This situation would make the bundle more

1 straightforward to implement.

2 While they were not detailed in the paper, Bach
3 also acknowledged the importance of addressing issues such
4 as cost-shifting, upcoding and stinting in designing a
5 successful bundle.

6 UnitedHealthcare's insight is that paying for
7 oncology drugs via ASP plus some add-on provides a revenue
8 incentive to prescribe a particular, often more expensive
9 drug without much regard to quality. They wanted to remove
10 that incentive and to strengthen the incentive to evaluate
11 drugs based on their effectiveness and prescribe on that
12 basis alone.

13 To do this, they took the funds that they would
14 have paid out in drug add-ons and, instead, paid them out in
15 a flat fee to oncologists for each cancer episode.

16 This is not a bundle in the same way that the
17 Commission tends to think of, in that most payments were
18 still made fee-for-service.

19 This separated the drug add-on from the drug and
20 repurposed it as a fee that could be used to provide
21 services like in-hospital care or hospice management if the
22 patient and oncologist decide to discontinue treatment.

1 Provided that the survival rate improved over the
2 cycle, the oncologists were also eligible for shared
3 savings.

4 From 2009 to 2012, spending was reduced overall by
5 about \$33 million, \$11 million of which went back to the
6 practices. Interestingly, however, drug spending during
7 that time increased. It seems that total spending went down
8 because of decreases in hospitalizations and radiology; on
9 the other hand, drug utilization rather than prices probably
10 drove the increase in drug spending.

11 It is also worth noting that the five practices
12 that participated were all large groups, and this may have
13 been integral to their success. If smaller practices were
14 to participate in such a model, they would probably have to
15 be aggregated into coalitions of some type.

16 Now I'll turn it back over to Nancy, who will wrap
17 up and lead you into discussion.

18 MS. RAY: Thanks, Katie.

19 Before closing, I want to remind commissioners
20 that at the September meeting you discussed the notion of
21 implementing least costly alternative policies in Medicare
22 Advantage plans and accountable care organizations as an

1 alternative to fee-for-service.

2 Katie and I could assess the flexibility of MA
3 plans and ACOs to implement these approaches, and we could
4 come back with this material potentially in the spring.

5 However, leaving fee-for-service policies
6 untouched results in fee-for-service beneficiaries not
7 obtaining the best value.

8 We have discussed four approaches to using fee-
9 for-service Medicare that would help beneficiaries get a
10 better price. We would like commissioner feedback about the
11 four approaches that we have discussed -- least costly
12 alternative policies, consolidated payment policies, Bach's
13 bundling approach and the United episode approach -- as well
14 as any other additional directions for future work.

15 MR. HACKBARTH: Okay. Thank you.

16 I have a question about ASP plus 6. This, to me,
17 has always been maybe the most troubling payment mechanism
18 used in the Medicare program.

19 With the current system, while we don't have
20 consolidated codes and each drug has its own code, it almost
21 seems to me like the way a manufacturer can market its drug
22 is by increasing the price because that means that the

1 physician add-on is greater.

2 Now the beneficiary cost-sharing increases. But
3 looked at from the physician's standpoint, it's a good thing
4 when a new drug comes in or the price goes up. It's more
5 revenue for the practice, in a system where you have a
6 single code for each drug.

7 Am I missing something?

8 DR. MILLER: Cash flow. You've got to be able to
9 purchase it.

10 MR. HACKBARTH: And, Kathy, if you wish to jump
11 in.

12 DR. MILLER: Yeah, I mean, also, just before you
13 go -- I mean, the practice obviously has to have enough cash
14 flow to purchase the higher-priced drug at that particular
15 moment, but there are people who make this argument.

16 MS. RAY: Right. I just -- right. And there may
17 be certain instances where that does, in fact, happen.

18 I just want to point out in the September mailing
19 material we summarized the OIG analysis of the two drugs
20 used for macular degeneration, and there's a large
21 difference in Medicare's payment for those two drugs. And
22 they did find that many practices did choose the lower-cost

1 product.

2 So it doesn't happen in all instances.

3 MR. HACKBARTH: Yeah, and that's really
4 important to note.

5 And I'm a big believer that, in fact, physicians
6 are motivated by things other than just filling their
7 pockets with money, and there are a lot of physicians who
8 take their professional responsibilities very, very
9 seriously, and they are to be commended for that.

10 But just in terms of the incentives created by the
11 payment policy, I think we're depending a lot on the
12 professionalism of our physicians. So the incentives
13 justify this --

14 MS. RAY: Right. And just as an alternative
15 example, though, the OIG also pointed out that when the
16 least costly alternative policy was rescinded for the
17 prostate cancer drugs the year following that you saw an
18 uptake, an increase, in the use of the most costly product.

19 MR. HACKBARTH: Yeah.

20 MS. RAY: It went up dramatically.

21 MR. HACKBARTH: Kathy.

22 MS. BUTO: Glenn, a couple things.

1 One is I think, worse than ASP, if you don't like
2 ASP, was the AWP policy.

3 MR. HACKBARTH: That's true. I agree with that.

4 MS. BUTO: So I'll go with that.

5 MR. HACKBARTH: Right.

6 MS. BUTO: As to the --

7 MR. HACKBARTH: That was -- for people who haven't
8 been involved, that was the policy that predated ASP.

9 MS. BUTO: Pre-dated ASP.

10 And, of course, the average sales price is a
11 combination of market-based prices, not just the Medicare
12 reimbursement price or whatever we want to call it. So
13 there are other market pressures that influence the drug,
14 including whether there are competitors -- okay, so whether
15 there are like drugs.

16 Avastin and Lucentis would be an example of that.

17 I think this policy gets a little -- I agree with
18 you; the 6 percent is an issue for physicians who are
19 looking to make money. I totally agree with that.

20 And we discussed that in relation to the 340B
21 payment under Part B for 340B drugs that are covered by Part
22 B -- the same issue of the hospitals being able to recoup

1 the differential, whatever it is.

2 So that's an issue with the methodology, and I'll
3 agree with that.

4 One thing I wanted to point out just in relation
5 to Lucentis and Avastin-and I think they have corrected
6 this. But at the time physicians were making that choice
7 they're obviously making the choice to be responsive to
8 beneficiary co-insurance. That's among the reasons they did
9 it.

10 They were often choosing an off-label use for
11 Avastin at the time they were making that decision.

12 Now I think they've gotten the label indication
13 maybe for macular degeneration now, but it complicates the
14 issue of how you put -- what drugs get to be compared in
15 this ASP fairness issue because some are labeled one way,
16 some are labeled another way.

17 But that's just a point of clarification.

18 MR. HACKBARTH: Those are good points, Kathy, but
19 the notion that, you know, this is a market price, I only
20 draw comfort from that if there's a market that's
21 functioning and it has an incentive to be cost conscious.
22 This payment mechanism creates a market where the people

1 buying the drug don't have an incentive to be cost
2 conscious.

3 MS. BUTO: Well, the private sector doesn't
4 necessarily follow the same methodology that Medicare does,
5 if that's what you're -- because this reflects private
6 sector discounts, et cetera, the ASP does.

7 MR. HACKBARTH: I understand that, but for a lot
8 of these drugs, like oncology drugs, Medicare is a very big
9 part of this market.

10 DR. MILLER: Kim, you were going to say something
11 [off microphone].

12 MS. NEUMAN: There's one piece of the ASP system
13 that does serve to provide an incentive to not increase
14 prices quickly, and that is the two-quarter lag. So there's
15 a two-quarter lag in the ASP filtering into the payment
16 rate. So drug manufacturers have an incentive to not
17 increase their price quickly because of the ASP system.
18 They can set a very high launch price, but then once it's
19 set, the ASP controls inflation to some extent.

20 MR. HACKBARTH: Okay. So let's open up Round 1.
21 I don't mean to focus the discussion solely on that.

22 DR. MILLER: Can I say -- I know you said you

1 didn't mean to focus on it, but I also think as you go
2 through and talk about this -- and this is more when you get
3 into Round 2 -- at least around the campfire we were
4 thinking it was kind of interesting that what United did is
5 they took, with their first step in redesigning theirs, the
6 profit off of the drug, which we thought was kind of an
7 interesting concept. And I'll be very interested in your
8 reactions to that.

9 MR. HACKBARTH: Let's do just the Round 1
10 clarifying questions on any aspect of this.

11 MR. GRADISON: I'm kind of intrigued with the idea
12 of substituting a fixed payment for the -- as United
13 apparently did -- for the percentage. And so the question I
14 have -- and perhaps you have to come back to us another time
15 with the response -- is if you had a budget-neutral change
16 in that, approximately what would that add on B if it were
17 dollar rather than -- uniform dollar amount rather than
18 related to the price of the drug?

19 DR. MILLER: We can come back to you on that.

20 MR. HACKBARTH: So clarifying questions on this
21 side?

22 DR. CROSSON: So getting back to the issue of the

1 physician incentives inherent in some of the changes, could
2 we look at Slide 7? Because I want to make sure I
3 understand that.

4 So in the base case, if the physician decides to
5 use drug number one, there's a \$6 add-on; if the physician
6 decides to use drug number two, there's a \$12 add-on.

7 Under the proposal, I guess -- I'm assuming that
8 the average sales price then becomes assumed to be \$159. Is
9 that right? So that if the physician chooses drug number
10 one, there's a positive incentive of \$59. Whereas, if the
11 physician chooses drug number two, there's a loss of \$41 --
12 or a \$100 swing in that decision. Am I reading it
13 incorrectly or is that the case?

14 MS. RAY: That is the case. And, again, when we
15 say add-on in this slide, it's Medicare's payment rate minus
16 provider's acquisition cost, to be clear.

17 DR. CROSSON: Right. So I'll make a comment
18 later, but that seems like a pretty Draconian design for
19 changing the incentives.

20 MR. HACKBARTH: Are you talking about the effect
21 on the physician or the effect on --

22 DR. CROSSON: On the physician.

1 MR. HACKBARTH: -- the drug company?

2 DR. CROSSON: The physician.

3 DR. MILLER: But the --

4 DR. CROSSON: I'm missing something.

5 MR. HACKBARTH: The effect on the physician's

6 income would be the add-on numbers. It would be --

7 DR. BAICKER: I think they pay [off microphone] --

8 My impression is the same as yours, that the physician would

9 be paying out of pocket \$41 to prescribe Drug 2.

10 MR. HACKBARTH: Oh, I see. I'm sorry. I got you.

11 DR. CROSSON: Right [off microphone].

12 DR. MILLER: Or they move to Drug 1.

13 DR. CROSSON: Right. But all I'm saying is we

14 would be going from a situation of \$6 versus \$12 to a

15 situation of \$51 positive, \$41 negative. Big difference.

16 DR. MILLER: Well, keep -- no, no. In this

17 example you're right. Keep in mind the actual dollar -- and

18 you keep track of me, Nancy. The actual dollar amount will

19 be a function of the volume-weighted averages, and so if

20 everybody was housed initially in Drug 2, the higher-cost

21 drug, then the volume-weighted average would be higher, the

22 swing wouldn't be as high, and as people moved to the lower-

1 cost drug, the ASP would drop.

2 I think what Nancy did, at my request, I think
3 here you just kind of assume it's equal and, you know, on
4 day one this is what would happen. But you do understand
5 the concept.

6 MR. HACKBARTH: Okay. Clarifying questions?

7 DR. BAICKER: So following up on that, when we had
8 talked about LCA policies, there had been a little bit of
9 discussion about whether we intended for patients to be able
10 to pay the increment or someone to be able to pay the
11 increment to get the more expensive course of treatment
12 versus saying here's what the reimbursement is, here's what
13 the total payment will be.

14 For this, my impression is that we're saying if
15 the physician and patient choose Drug 2, they lose, you
16 know, whatever the weighted average amount would be, and
17 presumably that would increase over time as the weight
18 shifts to the cheaper alternative, but that there's no
19 option to say I actually want to just pay more and get the -
20 - can the patient pay more to get Drug 2? Or is that not on
21 the table?

22 MS. RAY: It could certainly be on the table. As

1 we discussed with the -- when we discussed in September for
2 the LCA policy, and the patient would just pay the
3 difference. Certainly.

4 MR. HACKBARTH: Actually, this particular option,
5 I think we were trying to do something that Jack had
6 proposed at the last meeting, so, Jack, do you want to
7 address that?

8 DR. HOADLEY: Yeah, I mean, my notion on this
9 option was that you're really resetting the price, as it was
10 just described, and the beneficiary can have the more
11 expensive drug provided the -- since these are physician
12 administered, provided the physician is willing to do that.
13 In some of these examples, the physician might not be
14 willing, and the LCA option allows -- is more set up to
15 allow the patient sort of the free choice to say I'll pay
16 extra. But the only way, if they need the higher one for
17 some clinical reason, they'd either have to go through an
18 exceptions process or they would have to pay the extra.

19 DR. BAICKER: But in this case, are you
20 envisioning the patient being able to pay the extra, or are
21 you envisioning the only circumstance in which Drug 2 is
22 used is if the physician loses money on it?

1 DR. HOADLEY: Well, we can talk about what it
2 means to say losing money on it, but the straight answer is
3 yes.

4 DR. BAICKER: The straight answer is --

5 DR. HOADLEY: I'm sorry. The straight answer is
6 the --

7 DR. BAICKER: -- the patient's not paying the
8 extra.

9 DR. HOADLEY: The patient cannot pay the extra to
10 get that option.

11 MR. HACKBARTH: So that's how Jack conceives of
12 it, but it could be designed --

13 DR. HOADLEY: You can obviously design other
14 options.

15 MR. HACKBARTH: Clarifying questions?

16 DR. HOADLEY: So I did want to get into the
17 percent add-on thing, but we can wait until Round 2 on that.

18 My clarifying question really is still on kind of
19 the same point. It was mostly made, but the example you
20 used with the 159, as you said, it was 50/50 weighting. And
21 I don't know whether we have -- we could draw some examples
22 from sort of drugs that might naturally fit into this

1 situation of what sort of the weights are, because if the
2 behavior that we're worried about is true, then a lot of
3 them are going to be heavily weighted towards the more
4 expensive option. To the extent that's counterbalanced by
5 either being professionals or, you know, co-pay-related
6 concerns, the weight might be the other. So it might be
7 just interesting to see where weights typically lie.

8 The other sort of clarifying thing, we've got to -
9 - I think we should be careful about how we talk about this
10 106 percent, because it's often talked about as an add-on
11 sort of in a literal sense. But the acquisition prices for
12 the practices are not locked in at the ASP. So partly it's
13 -- a different way to conceive of it is that it's a plus or
14 minus, except that we're only seeing the plus. It's to
15 allow for market variation to say, well, we're not going to
16 lock everybody in at exactly the 100 percent level, we're
17 going to allow market fluctuation, because sometimes it will
18 cost 106 percent. Sometimes it will cost 110.

19 MR. HACKBARTH: And that's a good point that in my
20 comment I neglected, Jack. So just to carry it to the next
21 step, to the extent that a physician or group can get the
22 drug for less than the average, they have an opportunity to

1 increase their margin on the drug --

2 DR. HOADLEY: Yes.

3 MR. HACKBARTH: -- which in turn creates an
4 incentive to try -- yeah.

5 DR. HOADLEY: Right. Exactly. And we can get
6 back into some of this relating to the add-on --

7 MR. HACKBARTH: That's a good correction.

8 DR. REDBERG: I do have a clarifying question,
9 although I wanted to make a comment just on the earlier
10 discussion, because, I mean, that was a particular case,
11 Avastin, Lucentis, because it was exactly the same medicine.
12 So, you know, there were medical ethicists who said it was
13 unethical for anyone to prescribe the higher-cost one when
14 it was clearly the same medicine at the lower cost.

15 Having said that, I want to say I absolutely
16 think, you know, doctors often do the right thing not having
17 to do with money, but having said that, I mean, having
18 graduated medical school more than 30 years ago, I have seen
19 big changes in physician behavior, and I think, you know,
20 doctors are human. And when you have a fee-for-service
21 security that rewards high-volume, unneeded, unnecessary,
22 inappropriate care at very rich rates, you know, doctors are

1 human, and there have been definite changes, and we clearly
2 have a system where we are paying for a lot of things that
3 are not just costly, but they're hurting our beneficiaries
4 and they're hurting our patients, and they're leading to
5 lots of, I would say, unnecessary deaths and lots of adverse
6 events. And that's why I think we need to be looking
7 closely at these alternatives to stop putting a system that
8 is rewarding inappropriate, unnecessary, and harmful care.

9 But my clarifying question is actually on the
10 mailing materials because I just didn't really follow this -
11 - just the little paragraph on page 4 on follow-on
12 biologics. And what was the basis for those CBO estimates
13 for the 2010 to 2019 period on the difference between the
14 abbreviated follow-on, biologic approval process compared to
15 the same payment code as the reference biologic. Do you
16 know anything about why those came out \$3 billion different?

17 MS. RAY: I presume -- I don't know for sure, but
18 I presume that CBO -- that, again, putting the drugs in the
19 same code would motivate additional competition and, thus,
20 result in greater price competition than in separate codes,
21 and that would be the reason for the difference in the two
22 dollar figures, estimates.

1 DR. REDBERG: That was a 2008 report. I mean,
2 we've gone now six years. Have they looked at it again?
3 I'm just -- I mean, there's been so much movement in
4 biologics.

5 MS. RAY: Right, and at this point, under law,
6 follow-on biologics would have to be included in their own
7 payment code. So we provided this just as an example of
8 potential savings, but in law right now, a reference
9 biologic would get its own billing code and the follow-on
10 biologic would get its own billing code.

11 DR. REDBERG: Which is different than other drugs.

12 MS. RAY: Well, for drugs, brand-name drugs get
13 their own billing code. When a generic comes out of that
14 brand-name drug, that would go into the brand-name drug's
15 billing code, right.

16 DR. REDBERG: Because the biologics then would be
17 being treated differently.

18 MS. RAY: Yes.

19 DR. REDBERG: So essentially follow-on or generic
20 biologics are --

21 MS. RAY: Yes.

22 DR. HOADLEY: Presumably part of the logic is that

1 your savings would not just be because there would be price
2 competition from the manufacturer side, but it might be
3 easier to -- it might influence prescribing practices if
4 there's the same billing code.

5 MS. BUTO: Just a clarification point. I'm trying
6 to remember, but I thought that the reimbursement for
7 follow-on biologics is a little weird. It's like they get
8 their own ASP plus 6 percent of the reference biologics.
9 Isn't that right, something like that? So the idea was to
10 level the playing field between --

11 MS. RAY: Right, follow-on biologics get the add-
12 on of the reference product.

13 MR. HACKBARTH: Clarifying questions?

14 DR. NERENZ: Slide 11, please. I'm just wondering
15 if you can tell us a little more about what you want us to
16 think about this United Healthcare example. In all the
17 other slides, we're talking about dynamics within
18 prescription drugs. We're talking about policy change.
19 We're looking for behavior change. And I think eventually
20 the expectation would be savings in that payment domain or
21 in that silo.

22 Now, here in the first bullet, we talk about, you

1 know, changing incentives to prescribe one drug over
2 another, but then under the last bullet is interesting. You
3 were kind of polite in your wording here. Actually in the
4 paper it's pointed out that drug spending didn't just go up
5 a little bit. It nearly tripled. So what are we to think
6 about this? What's the lesson here?

7 DR. MILLER: What I would say is what -- and we
8 would come back to you if you wanted to pursue this. And
9 keep in mind what we're trying to do is follow up on
10 statements that were made, like tell us more about bundling,
11 tell us more about consolidated billing, Jack. And they are
12 two different things. And certainly the Bach discussion and
13 the United discussion really turns the conversation into a
14 discussion of oncology, which is a lot of the Part B stuff
15 which is the space we're in, but decidedly it's a different
16 direction.

17 And here's what I would say that I think I found
18 interesting about the United stuff. Regardless of the
19 specifics of the United, just set that aside. It took the
20 profit off the drug. They gave a case management fee. They
21 drew kind of a dotted line around the episode and put more
22 than the oncology in -- hospitalization, ED use, that type

1 of thing. And then said if you lower the total spend and
2 survival rates, you know, maintain, you can share in the
3 savings.

4 So I know we were supposed to be in clarifying
5 questions. What I'd look for in the second round would be
6 for you guys to say things like, "Interesting concept, how
7 would that work in Medicare?" -- if you thought it was an
8 interesting concept.

9 DR. NERENZ: And that's why my -- it really was a
10 clarifying question. I just want to make sure I understand
11 what's your message to us about this experience.

12 MR. HACKBARTH: Well -- go ahead, Nancy.

13 MS. RAY: I just want to point out that -- so the
14 five centers that were included in the United episode, what
15 I'll call the cases, I mean, many of them provided, you
16 know, like 24/7 access, nurse coordinator, those kinds of
17 services that might have led to the decreased admissions,
18 decreased ED visits, et cetera. And so that could have had
19 an influence on, you know, what we see here with the
20 decreased admissions.

21 MR. HACKBARTH: My recollection -- and please
22 correct me if I'm wrong -- I think I read at the time this

1 was published that the people at United weren't exactly sure
2 what to make of this pattern. It was not what they
3 anticipated would happen. Is that --

4 MS. SMALLEY: Right, they anticipated decreases in
5 drug spending, but that's not what happened. So it's just
6 kind of interesting that that's -- you know, the incentive
7 was to reduce drug spending, but they decided -- the
8 practices ended up reducing spending in other areas.

9 DR. NERENZ: Just one thing and I'll leave this
10 alone. Was there any hint in any of this that there was any
11 sort of causal connection between the increased drug
12 spending and then the lower overall episode spending? Did
13 the one somehow lead to the other, means to end?

14 DR. MILLER: There was nothing that I saw that
15 said there was a causal link.

16 DR. REDBERG: [off microphone] a lot of things,
17 and I don't think they could say what.

18 DR. SAMITT: My question is about ACOs. Can you
19 clarify whether Part B drug costs are included in the
20 benchmarking, gain-sharing formula for ACOs?

21 MR. HACKBARTH: Part B drugs, yes.

22 MS. RAY: Yes, I'm looking at --

1 DR. SAMITT: Part D is not, as we've discussed in
2 prior --

3 MR. HACKBARTH: Yeah, Part B is.

4 DR. SAMITT: Part B drugs.

5 MS. RAY: Part B, I'm looking at the ACO experts.

6 Yes, it's included.

7 MR. HACKBARTH: Clarifying questions?

8 MS. BUTO: I'm just trying to find the reference
9 in the paper, but you talked about repurposing funds. So I
10 know it was ASP plus zero. Then there was some kind of
11 episode management fee that was added. What was repurposed
12 exactly? I saw that they also subtracted the ASP from the
13 actual acquisition cost. Did they repurpose those funds?
14 Which funds were repurposed? And how were they repurposed?
15 By United or by the group that was managing the episode?

16 MS. SMALLEY: So what United did is before they
17 implemented this pilot, they would pay for drugs, an ASP
18 plus some contracted percentage. And so for each of the
19 practices, for each of the cancer episodes, they estimated
20 how much each practice would get per episode in drug add-
21 ons. And then instead of paying those out with the drugs,
22 they repurposed that money, that add-on money as an episode

1 fee, as a case management fee.

2 MS. BUTO: Okay, so more like a case -- so it
3 wasn't added to the drug.

4 MS. SMALLEY: Right.

5 MS. BUTO: And wasn't it a flat fee, not a
6 percentage of the drug that was paid? Isn't that what you
7 said in the paper? I thought it was a flat fee.

8 MS. SMALLEY: Yeah. I'm sorry. I misspoke.

9 MS. BUTO: All right.

10 MR. HACKBARTH: They took the pool of dollars --

11 MS. BUTO: And they gave it as a case --

12 MR. HACKBARTH: -- and converted it into a flat --

13 MS. BUTO: -- management fee.

14 MS. RAY: Right. And just to be clear, there are
15 19 different episode types, and they assumed that providers'
16 acquisition cost for the drug was ASP.

17 MS. BUTO: Okay.

18 MR. HACKBARTH: Any other clarifying -- Warner?

19 MR. THOMAS: And I might have missed this in the
20 chapter, but there was examples of the financial benefit for
21 implementing this policy for specific areas or specific
22 drugs. Is there a calculation of kind of in total what this

1 would mean if this was really implemented across the
2 spectrum of drugs in the program? I don't know if I missed
3 that specifically.

4 MS. RAY: I'm sorry. For the bundling or the
5 consolidated --

6 MR. THOMAS: For the consolidated --

7 MS. RAY: Oh, I'm sorry.

8 MR. THOMAS: To go to lower cost, alternative
9 pricing.

10 MS. RAY: No, we did not estimate what it would --
11 no, we did not do that.

12 MR. THOMAS: Okay.

13 MR. HACKBARTH: I am ready for Round 2 then.
14 Okay. Round 2 comments? Let's come down this way, starting
15 with Jack.

16 DR. HOADLEY: So a number of things I could talk
17 about, but let me focus first on the one you raised. I was
18 just trying to track some information that I had looked up a
19 while back in something I was working on.

20 There is a CBO estimate that said, for example, if
21 you change the 106 percent to 103 percent, it would be
22 savings of about \$3.2 billion over 10 years, which gives

1 some sense of the magnitude of dollars that are playing
2 around with these things.

3 I was going to raise the issue of changing it to a
4 flat add-on as a reasonable sort of addendum or issue,
5 separate from the exact thing that we are looking at here,
6 and I think we've sort of already surfaced most of the logic
7 behind that.

8 One other overall comment I wanted to make is
9 anticipating the follow-on biologics -- and while there's
10 some -- there are some different rules that are going to
11 apply there, and I am not totally up to speed on all the ins
12 and outs of what was set up on that. There's going to be a
13 lot more volume of potential savings and potential impact
14 once those start to come on board more.

15 So I think whatever we are doing here, we should
16 also think about with that framework in mind, so it's the
17 set of drugs now. Mostly it's oncology, and there are some
18 others, rheumatoid arthritis and some other injectables and
19 some that are used with DME that are affected by this, but
20 as follow-on biologics come on and as more drugs move into
21 this biologic phase, it's not -- this is not about the oral,
22 some of the oral bio. So something like Sovaldi is not

1 falling under this because that's on the Part D side.

2 I was really appreciative of all these new things,
3 and I think to some degree, this is the kind of thing where
4 similar to our last discussion, we might want to look at
5 some of these bundling options in a broader, different kind
6 of context, either in demonstration or something like that,
7 and there is certainly some appeal to oncology, where it
8 seems like -- and I would defer quickly to the clinicians,
9 but it seems like treatment for cancer is often evolving,
10 and you are making different decisions from time to time.

11 There may be some logic to sort of developing
12 something along the examples of these two. But the same
13 thing, even if we like that approach for something that we
14 ought to pursue, looking at some fixes in this to try to
15 force -- I mean, really the idea is to force more
16 competition around the cases, which there aren't a lot of,
17 but there are going to be more where there are more than one
18 drug alternative to treat the same thing.

19 For a long time, we were in situations where most
20 of these drugs were one of a kind. There was the one drug.
21 There may be other drugs to treat that particular cancer,
22 but there are different products doing different things.

1 Well, now we are getting more examples of drugs that are
2 really treating the same thing, and it does raise all those
3 issues about what goes into the bundle.

4 What I like about the consolidated code approach
5 is you are not sort of putting the burden on the beneficiary
6 to sort of think about when do I need this thing. On the
7 other hand, you are potentially, along the lines that Kate's
8 question suggested -- you know, there is a question of
9 whether you are cutting out access if the price -- what I
10 would hope would happen in a lot of these cases, that we'd
11 see price movement, and certainly, some of the reference
12 pricing examples overseas, what we have seen is price
13 consolidation where the losers, the ones who were priced
14 higher and now have to deal with a lower price, start to
15 lower their prices to come down closer to the average, to
16 the -- in this case, we wouldn't be doing a peer reference
17 price. Under the LCA, it would be more like a reference
18 price. But in either model, you could see price movement
19 potential from the manufacturers to try to get to, and that
20 would be, in many ways, the best outcome.

21 MR. HACKBARTH: As usual, there are a lot of
22 important things in what Jack said. I want to pick up on

1 two of them, focusing on the context for this discussion as
2 opposed to the merits of particular options.

3 Jack, very early on, made the observation that if
4 you are concerned about the incentives in ASP plus 6, an
5 option that could be considered would be a flat management
6 fee as opposed to a percentage add-on.

7 What that flags for me -- I want to make it clear
8 to everybody here and in the audience -- this array of
9 options that we are talking about is not the universe of
10 options for thinking about how to reform Medicare payment
11 policy for drugs to create different or better incentives.

12 These options that are here are really trying to
13 respond to questions that Commissioners raised at the last
14 meeting, and we could bring another or broader set of
15 potential options for a discussion.

16 Second point I'd want to pick up on is that here,
17 too, you could have a two-track approach. Some of these
18 things are a lot easier to do than others. Bundling
19 approaches tend to take more time to develop, test, may not
20 be universally applicable. Whereas, other approaches,
21 Jack's two ideas now of consolidating codes or flat
22 management fees are things that could be done much more

1 quickly, setting aside the debate over their merits.

2 We may want to look at a broader array of options,
3 and we want to look at both, some short-term and longer term
4 reforms. That is a message that I heard in Jack's comments.
5 Is that --

6 DR. HOADLEY: That's fair.

7 MR. HACKBARTH: Yeah.

8 Now we're doing Round 2 comments. Let's go around
9 this way. Kate and then Kathy and Jay.

10 DR. BAICKER: I very much agree that introducing a
11 financial incentive or having a financial incentive to
12 prescribe the most expensive drugs is hugely problematic,
13 and I would think that our goal would be to have patients
14 only use the more expensive drug when the incremental health
15 benefit warrants that. My suspicion is that for most drugs
16 -- for most things, there are very few things that are
17 strictly equivalent for all patients. We know that's just
18 not always the case, and so we don't want to introduce a
19 huge financial disincentive to use the more expensive drug
20 in a way that then patients who might really benefit
21 substantially from it have to ask their physicians to
22 basically pay out of pocket for them to be on the more

1 expensive drug. It seems like maybe swinging too far the
2 other way.

3 Something that is more like either a fixed payment
4 -- if it were a fixed management fee, regardless of the
5 drug, then you are not introducing incentives to use the
6 most costly way to achieve the gain. Maybe an approach that
7 maintains access while maintaining incentives for efficient
8 use to me would look something like paying a sort of fixed
9 amount, and then if patients want access to more expensive
10 things, letting them do so, but having some financial risk
11 for doing that, some financial responsibility for doing
12 that.

13 So for the patients for whom it's really
14 worthwhile, they can get the more expensive drug, but for
15 the patients where it's not producing a sufficient health
16 improvement over the less expensive ones, the incentives are
17 lined up to get the less expensive one. And that, I think
18 would introduce price competition without necessarily
19 restricting access in the cases where we think there is
20 actually an incremental health gain.

21 MR. HACKBARTH: So let me be the devil's advocate
22 for a second. In a bundling world, which you often say you

1 prefer, isn't the patient in the position, let's say, asking
2 the physician or the provider, "I want the more expensive
3 thing. You eat the cost"?

4 DR. BAICKER: I think that that is true and that
5 there is a risk of -- we always worry about the risk of
6 stinting in those circumstances, and that requires layering
7 on measures of quality, measures of satisfaction and the
8 like.

9 Patients do have an option in most circumstances
10 to go ahead and buy extra stuff, bearing 100 percent of the
11 cost of that. You can get anything you want uninsured for
12 the most part, and that's particularly problematic when we
13 think that it is care that is of high value for patients who
14 are of limited means.

15 We don't want to have only wealthy people be able
16 to afford those things, so the question is what is the right
17 balance there in terms of the financial risk of the patient
18 for the incremental cost. And it's something between --
19 obviously something between zero and 100. 100 percent might
20 be too high for the incremental -- for the share of the
21 delta for the patient to expect to be -- to expect the
22 patient to bear, particularly for low-income people.

1 Obviously, if we were going down that road, we would want to
2 think about specific provisions for low-income beneficiaries
3 and the like.

4 But I think that there is a middle ground where
5 you do expect somebody to bear the financial risk of
6 incremental care, because we only want the incremental care
7 used when it's producing sufficient value.

8 MR. HACKBARTH: Let me just ask a question about
9 Medicare Advantage for a second. Let's assume there is a
10 Medicare Advantage plan that has an oncology patient, and
11 the patient has done their Internet research, and they want
12 to use a more expensive drug than the MA plan, including
13 their physician in the MA plan, thinks is appropriate.

14 Under the existing MA rules, is the beneficiary
15 allowed to make an add-on payment to the plan to help them
16 cover the cost of an additional, higher expense drug? I
17 think the answer is no.

18 So, in that setting, we do have this situation
19 where patients may want something more, and they have to ask
20 their doctor to eat the cost.

21 Kathy.

22 MS. BUTO: So I wanted to mention a couple of, I

1 think, benefits that we could think about. I realize the
2 episode bundling is more difficult. I think it moves in the
3 direction that we eventually want to move in Medicare,
4 generally, but there are two things I thought of as I was
5 reading the paper that I think might be helpful for us to
6 think about.

7 One is that, potentially, you could look at,
8 assuming we are trying to figure out which drugs maybe are
9 either being over-utilized or where we want to look at
10 better management, better quality, et cetera, and better
11 price, you could potentially look at a larger range of
12 drugs, I think, than you can with an LCA or consolidated
13 billing. And I would include in that something like a
14 Sovaldi.

15 So you could also include Part D and Part B drugs
16 in that bundle, so that if there is a tradeoff, as there is
17 for many of the conditions that are prevalent in Medicare,
18 you could include both D drugs and B drugs. I think that's
19 what United did, and it gives you a wider array of
20 tradeoffs.

21 Obviously, the real challenge is how do you set
22 the bundle, but that's one. So I don't think you need to go

1 the multiple drug route that you'd need with the other
2 approaches, although I think they are quite appealing in
3 many respects. So that's one thing.

4 The other is that I think you could, depending on
5 how you set the bundle, provide much more flexibility for
6 the physician and the patient to work things out. It may be
7 that the patient is stuck with whatever the physician
8 decides he/she is most comfortable with, and they can't buy
9 up, if you will, the other drug. So I think you can get at
10 a lot of these issues that we are trying to get at with the
11 other policies.

12 I am not going to repeat what I said last time,
13 which I think there are implications for beneficiaries and
14 for incremental innovation of an LCA approach or the
15 consolidated billing approach, which I think is just a
16 variation on that.

17 The other thing that I'd be interested in -- and
18 this is really more of a follow-on -- would be which drugs
19 we think would be good candidates for an LCA and then which
20 drugs would be good candidates for an episode-based payment.
21 I don't think they are the same drugs, but there is
22 definitely overlap.

1 For LCA, I see real limitations in things like the
2 oncology drugs where doctors tend to use combinations. It
3 might be very difficult to do an LCA with oncology, but I
4 think as United demonstrated, you can do an episode-based
5 payment.

6 So it would be just interesting to know are we
7 talking about a big range for each, or are we talking about
8 a fairly narrow range of drugs that might fall into one or
9 the other options. So I would be just interested in your
10 thoughts on that.

11 DR. CROSSON: Yeah, I think my comment is simple.
12 It's what Kate said.

13 If I had to choose between the consolidated code
14 approach or bundling, my answer would be yes.

15 [Laughter.]

16 DR. CROSSON: For the reasons that Kathy said, I
17 think we will probably find in the end that some situations
18 and some drugs, some clinical conditions lend themselves
19 more to one direction or the other.

20 I favor bundling when it can be done. I think as
21 Kathy said, the broader the bundle, the more things that are
22 contained within it, the more flexibility you give to the

1 clinicians, and it tends to mute over time, I think, the
2 concern you brought up, Glenn, which is don't we already
3 have, in Medicare Advantage, negative incentives for more
4 expensive services. Yes, we do. But that's balanced, I
5 think, by the range of flexibility that perhaps was
6 demonstrated in the United situation to balance larger costs
7 in one area with lower costs in another area. So, in terms
8 of choosing that type of bundling or the size of bundling or
9 what's included in it, that's an important thing to take
10 into consideration.

11 In terms of the consolidated code thing, I know we
12 saw one example of a 50-50 situation, but that was a little
13 shocking to me, and I think before I was in favor of
14 something like that, that created such large incentives and
15 counter-incentives for physicians to choose one or the
16 other, I'd have to sort of see what the general situation
17 would be. If the 50-50 situation would be very transitory
18 or unusual, that's one thing.

19 But in the absence of that, I would be much more
20 interested in Jack's suggestion, which is to have a fixed
21 add-on or fixed code, where I think you maintain incentives,
22 but they are much muted.

1 DR. BAICKER: And just one additional distinction
2 between this case and the MA case, MA plans are still
3 competing for enrollees based on the quality of care that
4 they are delivering, and they have an incentive, albeit
5 fairly attenuated, to keep enrollees reasonably happy, to
6 keep enrollment up.

7 It's kind of a weird situation to think of any one
8 doctor facing a big disincentive for a patient who is not
9 locked into the doctor. It is a distinction in terms of
10 metrics of outcomes.

11 MR. HACKBARTH: You know how much I love global
12 payment and all that, but you could actually flip that
13 around and say, actually, it's not too bad for an MA plan to
14 have oncology patients who want expensive drugs to leave.

15 DR. BAICKER: That's a fair point.

16 DR. SAMITT: So just a few things. I agree with
17 your comment, Glenn, that there is likely to be a multitrack
18 approach to this. I'm not sure this is an either/or, and
19 similar to what others have said, I think it's an "and."

20 I, too, sort of am in favor of Jack's additional
21 recommendation about a flat fee. I think right now, the
22 incentive is a perverse incentive when at least a flat fee

1 is a neutral incentive, but we could even think about other
2 alternatives to a flat fee concept to say the flat fee is
3 offered in the setting of the prescribing of the lower cost
4 alternative, and there is no add-on if another choice is
5 made. So I think there are other ways to think about other
6 variations on the theme, as you had described.

7 I also wanted to comment on bundling. I like the
8 United approach. I don't see why that couldn't be piloted.
9 It really isn't a bundling scenario. We shouldn't call it
10 that. It is really a gain-sharing alignment.

11 I am less comfortable with the notion of a fixed
12 bundle for oncology. I think it is too complicated to
13 develop the price of a bundle, but this is not bundle. This
14 is looking at bonuses associated with total cost of care.
15 It is more of a budgeting exercise than it is a bundling
16 exercise.

17 MS. BUTO: More like ACOs.

18 MR. HACKBARTH: Yeah. So you would reserve the
19 term "bundling" for a lump-sum payment, an actual flow of
20 dollars using that method.

21 DR. SAMITT: Exactly. And I would not be in favor
22 of that. I would be more in favor of sort of this budgeted

1 ACO model, and that's what I ultimately wanted to add, that
2 if we have faith in the ACO model, that this type of example
3 for oncology falls within the broader rubric of ACO. If you
4 are an ACO, you are likely to refer mostly to oncologists
5 that have the best survival rates for your patients, and
6 that have demonstrated that they can practice efficiently.
7 So there are already built-in incentives within an ACO to be
8 accountable for exactly what United has designed on the
9 oncology basis.

10 Now, the only distinction is that it is an open
11 referral network. So patients could choose to go to any
12 oncologist that they wish, but there already is an incentive
13 within ACOs, because Part B drug costs are included, to take
14 a look at these factors when they are considering who they
15 should refer to.

16 MR. HACKBARTH: So let me just think aloud about
17 that. Let me step back for a second. One of the choices
18 that we always face implicitly that we potentially don't
19 focus on enough is should we start the wheels turning here,
20 in Congress, in CMS, on a new payment method, say United, or
21 should we count on existing payment innovations like ACO
22 that make things like this happen and not have a separate

1 set of initiatives and resources and political conflicts
2 created.

3 It may be a little different path, it may take a
4 longer time, but it takes resources to create something like
5 the United model within Medicare. And we have to be careful
6 about just saying, "Well, we want to do more of everything,"
7 just throw stuff and the wall and hope something sticks.

8 So what I am picking up here is, you think, well,
9 maybe you get to a United-type model through the ACO door.
10 Am I understanding you correctly?

11 DR. SAMITT: Yeah, I mean, I think that the pros
12 and cons of weighting with the ACO model is that it will
13 take some time for the ACO model to flourish, and it doesn't
14 apply in all sectors, in all markets in the U.S. And so in
15 some ways, you accelerate the -- at least focus on oncology
16 when you more universally say we're going to provide a
17 shared savings model or an ACO-like budgeting model for
18 oncology only. It starts to create a belt-and-suspenders,
19 more accelerated solution. But obviously there's added cost
20 to it.

21 So I think there are pros and cons to weighting
22 with the ACO versus adding some supplemental strategies.

1 MR. HACKBARTH: Okay. So just one last
2 clarification here. I now understand that you're saying
3 it's -- maybe do both, do an ACO-type model for oncology
4 specifically.

5 You know, one of the questions about whether ACOs
6 would ever produce this is that in the current ACO system,
7 the dollars continue to flow through fee-for-service payment
8 to providers. So the ACO would have to go to the oncologist
9 and say send us a check so we can redistribute money. The
10 dollars don't flow to the ACO to then be redistributed. So
11 it's an inherent limitation in the ability of ACOs to do
12 reforms like this the way they're currently structured.

13 DR. SAMITT: Well, that is true, although the ACO
14 has a gain-sharing formula that if the total cost of care,
15 including all of the care provided here, is more efficient,
16 then the ACO is going to receive a bonus. So the ACO
17 doesn't need to go and get a check from the specialist
18 because I think they're looking at the gain-sharing
19 opportunity here, unless -- if I'm understanding it
20 correctly.

21 MR. HACKBARTH: Well, to convert to a management
22 fee [off microphone] and start paying a flat management fee,

1 where are those dollars going to come from in the ACO model?

2 DR. SAMITT: Well, I think we're comparing apples
3 to oranges. Again, the management fee -- the add-on, you
4 mean?

5 DR. SAMITT: Yeah, taking the payments above the
6 acquisition cost, as I understand the United model, and
7 saying we're not going to pay above the acquisition cost,
8 we're going to instead use dollars to pay a management fee,
9 right now under ACOs the payments for oncology go directly
10 to the physician, including the 6 percent add-on, the ACO
11 doesn't have a mechanism to reclaim those dollars for
12 redistribution unless it says, "Send me a check."

13 DR. SAMITT: And that's a whole other separate
14 issue, and, again, I'm in favor of that because I think that
15 it removes a perverse incentive. This is something very
16 different, which is how do we create an incentive to
17 maximize survival rates for cancer and reduce the total cost
18 of care, including hospitalizations and the choice of drugs
19 when there are bioequivalent drugs. That performance does
20 accrue to the ACO. So aside from the add-on, which would
21 continue to accrue to the oncologists themselves -- that's
22 perfectly fine -- this takes into account all the other

1 costs associated with cancer care. And I think that they
2 would work side by side. So a fixed add-on, an ACO-like
3 solution in cancer, and an overall ACO solution I think can
4 all co-exist.

5 MS. BUTO: Yeah, my point is related to that, and
6 I would say that one thing we ought to consider is giving
7 ACOs new authority to establish episode bundling around
8 things like cancer care and then inviting oncologists and
9 others into that payment arrangement that would allow for
10 management fees and other things. Right now they don't have
11 that kind of free authority, and I think it's holding them
12 back that they don't have the ability to do that kind of
13 thing.

14 DR. NERENZ: I was just going to build on Craig's
15 point. I think it's even better that they can co-exist. I
16 think actually it might be essential, because right now in
17 the ACO models in Medicare, my sense of it is you have very
18 weak incentives for cost saving, but in the fee-for-service
19 platform, you still have very powerful incentives for doing
20 more. If you leave those incentives in there, it's hard for
21 the ACO to make the cost-savings incentives work.

22 If the fee-for-service part can be tweaked in a

1 way that the up incentives are removed or go down, then the
2 ACO dynamics work better. It's not just that they co-exist.
3 It may almost be a success requirement because, otherwise,
4 the ACO incentives are running directly against powerful
5 fee-for-service incentives.

6 DR. SAMITT: So you're saying, though, that ACOs
7 won't lead it, in a way that reform has to happen in fee-
8 for-service for ACOs to then adopt it. There's a question
9 at the end of that.

10 DR. NERENZ: Yes. I would say yes. I mean, the
11 strong version of my point, and it's going to have to play
12 out in practice, is that for ACOs to succeed, they may need
13 these kind of reductions in the fee-for-service incentives
14 to do more, spend more, take the higher-cost alternative.
15 Otherwise, they're just -- they're running too much against
16 those.

17 MR. HACKBARTH: Other Round 2 comments?

18 MR. THOMAS: Just building on the points that have
19 been made, I would agree also that I think a fixed
20 administration fee for physicians makes sense, that the
21 incentive there is certainly not probably the best one we
22 have today.

1 On the idea of the LCA, to me that -- especially
2 for drugs that are relatively similar, I mean, I think we
3 make these tradeoffs every day in the system today, in
4 hospitals where we're kind of paid on fixed payments around
5 DRGs, we're really trying to make those decisions every day.
6 So I would support, you know, moving to that model.

7 I agree that oncology could be more complicated
8 because there is, you know, different drugs there and the
9 efficacy can be different. There can be different mixtures
10 of drugs. But I also -- the idea that a patient may not
11 have access to a more expensive drug, we have this, you
12 know, once again today where it might not be -- you know, we
13 might get paid a global fee, and the devices that we implant
14 in patients could be different. And hospitals are making
15 those decisions with the physicians, with the patient, every
16 day today.

17 So I would really just encourage us to look at the
18 global payments, to look at the LCA model. I think there's
19 a lot of opportunity here -- going back to the point made
20 earlier -- to spend the resources that we have wisely.

21 DR. REDBERG: I like the LCA model because I think
22 the idea of paying similar prices for treatments that do

1 similar things makes sense, and the current system we have,
2 where we pay a lot more, again, for treatments that don't
3 lead to better outcomes doesn't make any sense. And not
4 only is that what we do, but there are a lot of incentives
5 to keep doing that in our current system that, you know, are
6 not really a wise use of resources or, I think, good for our
7 Medicare beneficiaries. And so I think sort of doing an
8 evidence assessment is a good idea.

9 In terms of -- the problem with the payment codes
10 is that it doesn't really allow us to compare non-drug
11 treatments that might be better. So, for example, sometimes
12 you would be better not having anything than having drugs,
13 because we are using drugs in lots of situations where you
14 would be better off without them. And just so that's why
15 sort of a bundling or a bigger approach I think makes more
16 sense, and, you know, I can see doing this, consolidated
17 payment codes initially, and then moving towards a bundled
18 system.

19 I don't think ACOs are going to, unfortunately,
20 achieve that goal for the reasons we talked about, the fee-
21 for-service chassis that they're built on. And the other
22 thing is that, just to remind us, all of this is predicated

1 on the fact that we're actually collecting data on outcomes
2 and on how patients are doing. And right now, even if I
3 wanted to refer patients to an oncologist that's getting
4 better outcomes, how could I? Because I have no idea, and
5 we don't collect that data. And we really do, you know,
6 need to have -- you know, we pay for a lot of things, and we
7 don't do very well in tracking them in terms of physician
8 outcomes, treatment outcomes, device outcomes. And that was
9 the last point.

10 And another advantage of having a bundled payment
11 as opposed to just stopping consolidated payment codes is
12 that there are times, for example, in cardiology where you
13 could have -- for current stable coronary disease -- and now
14 we're not talking so much about Part B drugs, but you could
15 either get medical therapy or a stent, be equally effective,
16 but the reimbursement is much higher for a stent, physician
17 reimbursement is much higher, and guess what? We have a lot
18 of stents being placed in people that would do equally well
19 on medical therapy. That's not going to be affected by
20 having a consolidated payment code. So, again, I think a
21 reason to have a bundle so we can really achieve the best
22 care for our use of Medicare resources.

1 DR. HOADLEY: I wanted to follow up on several of
2 the points that have been made. The line that Kathy started
3 about sort of how many drugs are involved in some of these
4 different approaches, and it really has been kind of picked
5 up, I mean, it really -- I think that's really important to
6 think about. Under bundling we can go all kinds of places.
7 Some of them may work better than others. Oncology is
8 probably rarely going to show up in these sort of least
9 costly alternative or, you know, consolidated code because
10 they're not the same drugs. They alternative treatments.
11 Some may be more effective, and you kind of have to do it.

12 So I think at least today there are relatively few
13 drugs that sort of fall in this least costly alternative
14 consolidated code kind of category. There will be more,
15 particularly as follow-on biologics come along. So it's
16 going to become a more important issue over time.

17 The second point on the flat fee, I think it is
18 important to kind of remember that it's mostly just a
19 separate issue, even from the coding issue. So it applies
20 all across all Part B drugs; whereas, the coding ones were
21 talking about, again, as I just said, are probably
22 relatively few cases.

1 There are some ways to probably go other than just
2 pure percent, pure flat. I don't know that we'd want to go
3 there. A little bit of what came up in the follow-on
4 biologic where it said, you know, we'll continue to give you
5 the higher percent markup of the higher drug to help create
6 the incentives to use the less expensive product is sort of
7 along that line of thinking, try to get the straight
8 percentage calculation away from being an incentive. There
9 are going to be some issues with flat fees, sort of what do
10 you base it on and so forth as well.

11 The third point and last point is, as you sort of
12 think about the difference between consolidated coding and
13 least costly alternatives, it actually strikes me that maybe
14 they're on some level the same thing, but with two
15 parameters that you could change, and you could actually get
16 more in between. So one is how you determine the price
17 point. So when we're talking about consolidating, we're
18 saying straight weighted average. When we're talking about
19 least costly alternative, we're saying generally the lower
20 price of the two. You could obviously define other things
21 and kind of make that more of a variable set of policy
22 choices. And then the second thing is sort of the cost of

1 buying up.

2 In the simple way we've designed the one, the
3 patient is fully responsible for the cost of buying up under
4 the least costly alternative. And under the consolidated
5 code, we're saying the patient pays no more and the burden
6 is on the provider. Again, you could come up with some
7 variations on those.

8 So you might actually think of these as just the
9 same thing with two parameters that you can move around in
10 different ways, and if we don't like quite the mix on either
11 one, try to come up with a better mix.

12 MR. HACKBARTH: So if I could, I want to sort of
13 follow Jack. My mind was sort of working on a similar
14 track. I won't be as good as Jack in articulating it, but
15 what I'm trying to do is figure out a path for us to explore
16 here. And I think Jack is right. We've got a variety --
17 several different types of issues here that we've touched
18 on, and I think we now need to start sort of sorting them in
19 order to make progress.

20 You know, the categorization I was using, Jack, I
21 think is similar to yours. There's this discussion about
22 the incentive for physician and whether the percentage add-

1 on should be converted to a flat fee. That's sort of one
2 path to pursue.

3 A second involves methods that require some
4 determination of equivalency, whether it's LCA or
5 consolidated codes or Jack's sort of middle option between
6 the two. That's sort of another basket of things. You are
7 making a judgment about equivalency.

8 And then the third basket that I was thinking of
9 is the sort of bundling payment reforms that go beyond just
10 paying for individual -- how you pay for an individual drug
11 to wrap it into broader changes in incentives, and here, I'm
12 sorry, Craig, I'm using "bundling" to encompass things that
13 have ACO-type structures as well.

14 And those are three paths that are actually not
15 mutually exclusive, and, you know, they all have potentially
16 some merit. When I say "merit," I don't necessarily mean to
17 imply that I hear consensus about what we should do in each
18 of the three categories, but I do think there are three
19 distinct paths.

20 So let me pause there.

21 MS. BUTO: Just on that point, Glenn, I think, you
22 know, the flat-fee approach, depending on how you set the

1 flat fee, could in a sense mitigate the need for an LCA or
2 consolidated billing code approach if what you're trying to
3 do is level the incentive. So it could really move in that
4 direction in a way that, you know -- again, it would depend
5 on the methodology.

6 The one thing I wanted to mention that I'm not
7 sure where it fits -- and I have no data behind this, and
8 maybe Kate has a sense of this -- is whether there's any
9 impact on either the development of follow-on biologics or
10 other source -- other of the multiple source, you know,
11 originator type drugs. Once you establish an LCA grouping
12 or a consolidated bill groups -- in other words, once you've
13 established a lower payment level, do we care? And is there
14 any impact on the incentive for a generic or for a follow-on
15 biologic to come in? Maybe we don't care because maybe it's
16 those drugs already have multiples in them. But I'm just
17 raising it because if we do care, if we want more
18 competition, that's just something that we could look at.
19 But I don't know that there's any data on this one way or
20 the other. There's not enough experience really with
21 follow-on biologics, even in Europe, to know what the impact
22 would be.

1 But, anyway, I do think that one of the approaches
2 could actually mitigate the second issue somewhat if you go
3 to a flat fee.

4 MR. HACKBARTH: So let me just think out loud
5 about that. So going to a flat fee eliminates the incentive
6 to order a higher-cost drug in order to maximize your
7 payment as a physician. It does not create an incentive to
8 use a lower-cost drug that may be equivalent. So it
9 neutralizes the incentive to go higher, but it doesn't
10 create the incentive to go lower.

11 MS. BUTO: Right, and I was going back to Kate's
12 point about, you know, where do you -- which side do you
13 fall on. Is it the side of at least wanting to leave that
14 room so that the clinician can make that decision? Or is it
15 that we really think these are so equivalent that there's no
16 reason really for the clinician to prescribe the higher --

17 MR. HACKBARTH: I also want to give Mark a chance
18 because he's been scribbling thoughts.

19 DR. SAMITT: I have one quick comment, and I'm
20 actually representing Alice in this comment, who -- it's as
21 much about the optics of how we describe this, but her
22 recommendation was that if we have the ability to influence

1 the language, we shouldn't say LCA, that it shouldn't be
2 least cost alternatives, that it really is least cost
3 equivalent, that alternatives suggests that it doesn't have
4 an equal level of effectiveness, and so maybe we should be
5 describing it as LCEs as opposed to LCAs.

6 DR. HOADLEY: Yeah, that's a nice amendment. I
7 think on Kathy's point on the flat fee, we've got to
8 remember that there's still a margin profit potential, so in
9 the sort of Lucentis, Avastin, where it's a 40:1 difference,
10 if they can get -- find a supplier that gives them a 1 or 2
11 percent discount, they've still got a lot of money to make
12 on that margin.

13 So it's not only the percentage add-on. It's the
14 potential to use margin on that. So it does fix it partly,
15 but it doesn't fully sort of solve that other issue.

16 MR. ARMSTRONG: And I just briefly wanted to
17 affirm, I thought the way you characterized or packaged the
18 issues into those three categories was a really nice and
19 useful way of getting us organized for going forward.

20 And then one brief point -- it's kind of a
21 semantic point as well -- the title of the chapter is
22 "Developing Payment Policy to Promote Use of Services Based

1 on Clinical Evidence." And I think maybe to the degree
2 there's evidence that creates equivalence, that's really a
3 fairly limited, actually, application of clinical evidence
4 to this whole topical area, particularly given some of
5 Rita's points about if you're really applying clinical
6 evidence, you would be imagining all sorts of other much
7 bigger questions about the use of these various medications.

8 And so I just would challenge us to really look at
9 the language in the title itself.

10 DR. MILLER: This is going to be anticlimactic. I
11 wrote three things out. They were the same three that you
12 wrote down.

13 MR. HACKBARTH: For this we're paying you [off
14 microphone].

15 DR. MILLER: Well, no, there was a fourth one
16 about extra vacation time for the Executive Director. I
17 thought I heard that.

18 [Laughter.]

19 DR. MILLER: So with your list of three, I saw two
20 of them as ASP oriented, looking at the consolidating or
21 thinking about, you know, a reference, you know, a flat fee
22 -- sorry. Thinking about consolidating the ASP codes, and

1 then the kind of buy-up arrangement there. Then there is
2 still within general ASP a flat-fee type of approach and
3 variance on that. And then you have the bundling, and I
4 want to be really clear, I think if we go into the bundling
5 conversation, we're talking about oncology and kind of
6 building off some of the -- and I shouldn't say -- you know,
7 shared savings -- well, what I would say from a staff
8 perspective is we would probably try and come back to you
9 and say, okay, let's work through the mechanics of how it
10 would happen and, you know, how this would work, and we
11 would probably try and work through an oncology example as
12 the first and most obvious place to go just to kind of cut
13 the playing field down to something.

14 Now, what I didn't hear -- and I'd be happy if you
15 want to say, you know, just go figure this out. You know, I
16 could huddle with the staff and figure out what could come
17 online more rapidly if you're indifferent about which order.
18 But if you have an order, then you should speak to that.

19 MR. HACKBARTH: Well, as I was saying in the
20 exchange with Craig, I do think an important part of this
21 thinking is, What are the resources required to do various
22 options? Are there some of these that are lower-hanging

1 fruit, both in terms of our time and effort, Congress and
2 CMS, and we may want to have some explicit staging that
3 says, you know, let's focus on these, these are incremental
4 improvements, but they are improvements, while these more,
5 bigger reforms are moved to later. Or in some cases, we may
6 decide the big reform is just too big, too complicated to
7 do, it's better to do it through Medicare Advantage plans or
8 some other vehicle. So some staging I think is a useful
9 part of the framework as well.

10 MS. BUTO: Is this the universe of things we are
11 going to be talking about, though, in terms of reforming
12 Part B? Because I think you started out by saying we are
13 only looking at a certain number of these. If you are going
14 to stage, it seems to me you would want to make sure we
15 thought of --

16 MR. HACKBARTH: Well, if you want to add -- I
17 didn't mean to say this was --

18 MS. BUTO: I mean, there are risk sharing
19 arrangements.

20 MR. HACKBARTH: This is what I had heard in this
21 conversation.

22 MS. BUTO: There are things that could be done

1 that are much more -- there is more accountability around
2 outcomes and so on that are also changes in reimbursement,
3 but I don't know if you want to go there.

4 MR. HACKBARTH: Just say more -- [Off microphone].

5 MS. BUTO: I can't lay anything out right now, but
6 you probably know there have been experiments with
7 government and providers or manufacturers engaging in an
8 agreement that payment would be made in relation to outcomes
9 produces.

10 MR. HACKBARTH: Yeah. So UK has experienced with
11 that.

12 MS. BUTO: UK has experienced with that in cancer
13 care -- well, Velcade. There are other countries. France
14 has experimented in looking at different drugs in categories
15 and essentially trying to figure out whether you pay in
16 increment or not and how you code for that and so on. So
17 there are different approaches, and I don't have it all in
18 my head.

19 If we wanted to look more comprehensively at, so
20 what's the end game in fee-for-service, what is the best we
21 can hope for in terms of drug treatment and payment, I don't
22 know that this is a universe of possibilities.

1 DR. SAMITT: And just so that we don't create the
2 wheel, as we think about bundling or game sharing in
3 oncology, are there other examples like ESCOs that can
4 accelerate that thinking to say, "All right. Well, what if
5 we took an ESCO-type model and applied to oncology as an
6 alternative?"

7 DR. REDBERG: What's an ESCO?

8 DR. MILLER: This is the ESRD ACO?

9 DR. SAMITT: ESRD ACO.

10 DR. MILLER: Yeah.

11 DR. SAMITT: So an alternative diagnosis but still
12 the same framework.

13 MS. RAY: Right. Included in the mailing
14 materials, CMMI is currently developing an oncology payment
15 approach, and we could come back to you with more specifics
16 about that, as well.

17 DR. BAICKER: Just to come back to the specific
18 question of the incentives on the incremental payment,
19 because I think you have raised the very important points
20 about the balancing of the -- clearly, we are at one extreme
21 now where we are heavily incentivizing the use of expensive
22 stuff.

1 The other extreme, where you pay, you know, the
2 model that we have been looking at here would pretty heavily
3 incentivize the use of the least expensive. And then the
4 flat fee is neutral about whether you use the more expensive
5 and least expensive.

6 I think everyone seems to be of accord that the
7 right thing is somewhere between the flat fee and
8 incentivizing the cheaper one. We don't want to be on the
9 side where we are incentivizing the expensive one, and it
10 comes back to this point of Craig channeling Alice, to me,
11 in calling something -- I'm blaming you from now on -- in
12 calling something equivalent, and that in some cases, we
13 delude ourselves in thinking these things are exactly the
14 same. That's such a small set of cases, and as soon as you
15 start saying, "Well, but this one has different side-effect
16 profiles and patients have different tolerances for that,"
17 you pretty quickly get into the muck of making it a really
18 heavy lift to implement.

19 So the more you are on the strongly incentivizing
20 the cheapest one, the more I think you have to grapple with
21 the many exceptions. Obviously, in each case along that
22 side of the spectrum, there is the potential for having to

1 manage the exceptions, the medical necessity, the odd case.
2 That is always going to be the case, but the stronger you
3 push it in this direction, the more I think you have to rely
4 on really close equivalency. And I think the narrower the
5 set of cases and, in some ways, maybe the less of the bang-
6 for-the-buck that you get when you have to limit it to such
7 very specific buckets, whereas coming a little more towards
8 the neutrality, then it takes a little of the string out of
9 that. So that's how I think of that end of the spectrum.

10 DR. MILLER: If I could just say this quickly
11 about that, and I know there are other people on deck, so I
12 will be very fast here.

13 If that were the framework that you thought
14 through -- and I know you were talking about a staging thing
15 more from a policy in a large view -- from a staff point of
16 view, if we were to follow this, we would come back with
17 flat fee as more neutral and less muck to implement and
18 start thinking about bundling, where you don't go through
19 all the equivalency calculations. The clinician does that
20 in bundling and shared savings -- I'm sorry, Craig -- do
21 that in his or her head as they are working through with the
22 patient.

1 DR. CROSSON: Just two comments. First, on what
2 Kate said, I think the further along you get on that
3 spectrum of strong incentives or strong disincentives, the
4 more important it is to incorporate a process of allowing
5 clinical judgment to take place, and that exists more
6 robustly in a situation where there is broad flexibility in
7 terms of making a whole bunch of clinical decisions.

8 But in answer to the phasing or prioritization
9 question, is the assumption that the whole spectrum of
10 things that we have discussed so far would require
11 legislation, or are some of these things that could be done
12 on a regulatory basis?

13 MR. HACKBARTH: There may be some regulatory
14 opportunities, but I think in general, what we have been
15 talking about here requires legislative action.

16 Kathy, I think you are absolutely right is that
17 there is a whole, much larger universe of potential options
18 that goes way beyond what we have talked about here, so your
19 point is very well taken.

20 What I am wrestling with is how do we get
21 traction.

22 MS. BUTO: And I didn't mean to say we shouldn't

1 try to bite off what we can chew.

2 MR. HACKBARTH: Yeah.

3 MS. BUTO: It's just that when you presented it,
4 it was sort of like, you know, these are the things we're
5 going to be moving to.

6 MR. HACKBARTH: Yeah. Again, I concede your point
7 is a good one.

8 My fear is that if you open the door too wide,
9 especially meeting as infrequently as we do and having the
10 limited time we have, that it's a formula for never being
11 able to make progress. So I would be inclined to go with
12 the shorter list and see if we can use that to make headway
13 here.

14 Jack.

15 DR. HOADLEY: The only thing I would add to that,
16 I mean, one point I'd add on top of Kate's point is sort of
17 pushback, the political pushback, on the examples we are
18 looking at are ones where things were done and then people
19 went to court or went to Congress to get them overridden.
20 So, I mean, it is just another consideration to keep in
21 their minds.

22 But the more substantive point is -- I keep coming

1 back to the follow-on biologics, because these were -- the
2 legislation has created the pathway for these to get
3 approved, and FDA is working along that path. There are a
4 lot of other issues, especially on the Part D side, of the
5 oral follow-on biologics about what are the prescribing
6 rules going to be. Those have mostly been a matter of state
7 policy, nothing something I suspect we would get into.

8 But the coding options really may play heavily
9 into that. The percentage add-on issue can play into that.
10 I think thinking about that maybe has some urgency because
11 it's not that far away, and in fact, there is a lot of
12 activity already at state legislatures and things trying to
13 anticipate and protect the original manufacturers' interest
14 in these.

15 So I think there is a lot of money, potentially,
16 to be saved along that path, and even that one number that
17 you had in the paper about the difference in those two
18 estimates, even though that was old and out of date in terms
19 of the specific numbers says there is a lot of money on the
20 table, depending on what we do to encourage their use once
21 they are on the market.

22 MR. HACKBARTH: We are just about at time. Any

1 concluding comment that anybody wants to make?

2 [No response.]

3 MR. HACKBARTH: Do you have a sense, Mark, of
4 where to go from here on any questions you asked?

5 DR. MILLER: I do.

6 MR. HACKBARTH: Nancy, Katelyn, any questions that
7 you want to ask here in terms of getting guidance?

8 [No response.]

9 MR. HACKBARTH: Okay. Then we are done. Thank
10 you very much. This is a challenging area.

11 We will now have our public comment period.

12 [No response.]

13 MR. HACKBARTH: Seeing nobody at the microphone,
14 we are adjourned.

15 [Whereupon, at 11:29 a.m., the meeting was
16 adjourned.]

17

18

19

20

21

22